

THE U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES

AGENCY FOR TOXIC SUBSTANCES AND DISEASE REGISTRY

convenes the

TWENTY-SEVENTH MEETING

CAMP LEJEUNE COMMUNITY ASSISTANCE

PANEL (CAP) MEETING

April 4, 2014

The verbatim transcript of the
Meeting of the Camp Lejeune Community Assistance
Panel held at the ATSDR, Chamblee Building 107,
Conference Rooms 1B/1C, Atlanta, Georgia, on
April 4, 2014.

STEVEN RAY GREEN AND ASSOCIATES
NATIONALLY CERTIFIED COURT REPORTING

404/733-6070

C O N T E N T S

April 4, 2014

WELCOME, INTRODUCTIONS AND ANNOUNCEMENTS DR. ROBIN IKEDA, MR. CHRISTOPHER STALLARD	5
ACTION ITEMS FROM PREVIOUS CAP MEETING DR. ANGELA RAGIN-WILSON	16
VA UPDATES VA TRAINING DISABILITY CLAIMS JANEY ENSMINGER ACT OF 2012 DR. VICTORIA DAVEY, MR. BRAD FLOHR	29
DIVISION OF CANCER PREVENTION AND CONTROL DR. DAVID ESPEY	44
PUBLIC HEALTH ASSESSMENT ACTIVITIES DR. TINA FORRESTER	61
CANCER INCIDENCE STUDY UPDATE DR. ROBIN IKEDA, DR. FRANK BOVE	97
PRESENTATION AND DISCUSSION OF PUBLISHED HEALTH STUDIES	106
BIRTH DEFECTS AND CHILDHOOD CANCERS	107
MORTALITY AMONG MARINES AND NAVY PERSONNEL	142
MS. PERRI RUCKART, DR. FRANK BOVE	
UPDATES ON HEALTH STUDIES ADVERSE PREGNANCY OUTCOME STUDY CIVILIAN MORTALITY STUDY MALE BREAST CANCER STUDY MS. PERRI RUCKART, MR. EDDIE SHANLEY	168
CAP UPDATES AND CONCERNS CAP MEMBERS	177
WRAP UP/ADJOURN	188

TRANSCRIPT LEGEND

The following transcript contains quoted material. Such material is reproduced as read or spoken.

In the following transcript: a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis (. . .) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

-- (sic) denotes an incorrect usage or pronunciation of a word which is transcribed in its original form as reported.

-- (ph) indicates a phonetic spelling of the word if no confirmation of the correct spelling is available.

-- "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

-- "*" denotes a spelling based on phonetics, without reference available.

-- "^" represents unintelligible or unintelligible speech or speaker failure, usually failure to use a microphone or multiple speakers speaking simultaneously; also telephonic failure.

P A R T I C I P A N T S

(alphabetically)

BOVE, DR. FRANK, ATSDR
BRIDGES, SANDRA, CAP, CLNC (via telephone)
BRUBAKER, MATT, FMG LEADING
CANTOR, DR. KENNETH, NCI TECHNICAL EXPERT
CLAPP, DR. RICHARD, SCD, MPH, PROFESSOR
DAVEY, DR. VICTORIA, VETERANS ADMINISTRATION (via
telephone)
ENSMINGER, JERRY, COMMUNITY MEMBER
FRESHWATER, LORI, CAP MEMBER
FLOHR, BRAD, DEPARTMENT OF VETERANS AFFAIRS, COMPENSATION
SERVICE
FORRESTER, DR. TINA, ATSDR, DIVISION OF COMMUNITY HEALTH
INVESTIGATIONS
GILLIG, RICHARD, ATSDR
IKEDA, DR. ROBIN, ATSDR, ACTING DIRECTOR
MARKWITH, GLENN, NAVY MARINE CORPS PUBLIC HEALTH CENTER
PARTAIN, MIKE, COMMUNITY MEMBER
RAGIN-WILSON, DR. ANGELA, ATSDR, DIVISION OF TOXICOLOGY AND
HUMAN HEALTH SCIENCES
RUCKART, PERRI, ATSDR
STALLARD, CHRISTOPHER, CDC
STEPHENS, DR. JIMMY, ATSDR ACTING DEPUTY DIRECTOR
STEVENS, SHEILA, ATSDR, CAP LIAISON
WILKINS, KEVIN, CAP MEMBER
WILKINS, STEVE, VETERANS ADMINISTRATION, PUBLIC AFFAIRS

1 following year, 2006. The CAP's purpose is to
2 provide a forum and a method to exchange information
3 between ATSDR and the community and to facilitate
4 participation by members of the affected community.

5 The Camp Lejeune CAP is critical to our work.
6 We rely on the CAP to provide first-hand knowledge
7 of the community, to help us understand the
8 community's perspective and to identify community
9 concerns. We also rely on the CAP to help us
10 communicate and connect with veterans and their
11 families.

12 And the Camp Lejeune CAP has been instrumental
13 in enhancing and improving our work over the years.
14 And just to give you a few examples, as we worked on
15 the water modeling, it was the CAP that provided a
16 previously unknown document to us that indicated a
17 large loss of fuel at the Hadnot Point fuel farm,
18 and it was the CAP that provided accurate data about
19 when the Holcomb Boulevard water treatment plant was
20 operational. And recently the CAP has encouraged
21 participants to respond to our health surveys, which
22 has been helpful in boosting our response rate. And
23 these are good examples of how we can work well
24 together.

25 But just like any relationship, we've had our

1 rough spots, too. The work is challenging and the
2 relationship between the CAP and ATSDR has been
3 rocky at times, particularly recently. And this is
4 unfortunate because we have important work to do
5 together. We've been doing a lot of thinking about
6 our relationship and we really want to work in a
7 positive and productive way moving forward. We can
8 call this a reboot or we can call it a reset or a
9 fresh start.

10 One important part of this fresh start is how
11 we all interact with each other. And I understand
12 that we may often disagree. I also understand that
13 we all bring passion and commitment to the table,
14 and that this combination can sometimes be a
15 volatile one. It's okay for us to disagree and
16 criticism of ATSDR or CAP positions is acceptable;
17 however, criticizing or attacking individuals or
18 making derogatory personal comments is not. We want
19 to work with you to find constructive ways and
20 approaches to address our differences, improve our
21 relationship and do our work together. We're
22 committed to listening to and considering your
23 concerns. We also ask that you consider our
24 perspective as well. Thank you.

25 And I'd like to just say a few words about the

1 agenda. We'll hear from the VA regarding disability
2 claims, the 2012 Janey Ensminger Act and training
3 activities. We've invited Dr. David Espey, our
4 colleague from the Cancer Prevention and Control
5 Program, to share information about working with
6 state cancer registries. We'll hear from Dr. Tina
7 Forrester about progress in developing the drinking
8 water analysis and soil vapor intrusion sections on
9 the public health assessment. Dr. Bove and I will
10 provide an update about the cancer incidence study.

11 And I want to pause here for just a moment
12 because I want to be clear where the agency stands
13 on the cancer incidence study. The ATSDR has the
14 authority to conduct it. That is not in question.
15 And we recognize the strong interest in and the
16 compelling reasons for such a study. Our bottom
17 line is that we're committed to moving forward with
18 the cancer incidence study and we'll share more
19 about how we're going to do this at 11:15. We have
20 a lunch break at 11:45 to 12:45, and then we'll hear
21 from Ms. Perri Ruckart and Dr. Frank Bove about the
22 birth defects paper and mortality paper. And then
23 after that, Perri and Mr. Eddie Shanley will provide
24 updates about ongoing health studies. And then our
25 final session is devoted to CAP updates and

1 concerns, and at that time we'll also be selecting
2 the dates for the next two meetings.

3 Just a few final announcements. We agree to
4 appoint a seventh CAP member, and we'll move forward
5 on that decision shortly. I've also heard that
6 other current CAP members may be stepping down so
7 we'll be looking to fill those slots as well, if
8 indeed that is the case. Ms. Sheila Stevens will be
9 joining us to serve as the Camp Lejeune point of
10 contact and liaison. We've heard the concerns about
11 delays in responding to inquiries and requests, and
12 we wanted to bring somebody onboard whose sole
13 responsibility it is to address and triage those
14 incoming questions and concerns. And I do want to
15 emphasize here that this is not intended to limit
16 access to our staff, but we would ask that if you do
17 reach out directly to staff, that you please copy
18 Sheila as well. We've also asked our staff to do
19 the same. And I've mentioned to some of you we've
20 had problems in the past with multiple lines of
21 communication, and this has resulted in mixed
22 messages and sometimes even contradictory messages
23 being sent out.

24 I also wanted to mention that Mr. Matt Brubaker
25 from FMG Leading, seated there, has also joined us.

1 Matt is an expert in organizational assessment and
2 transformation, and he will be assisting us in two
3 ways. One, he can serve as our back-up facilitator
4 in case Chris is not able to be here. And then as
5 an observer, we've also asked Matt to help -- let us
6 know how we might improve our process and enhance
7 communications between ATSDR and the CAP. So I
8 wanted to welcome both Sheila and Matt. They'll
9 probably say a few more words about themselves as we
10 go around with introductions, but they are two new
11 faces in the room who will soon be familiar ones.
12 But thank you again for being with us here today,
13 and I'll now turn it back over to Chris to get us
14 started.

15 **MR. STALLARD:** Thank you very much. So we have
16 new people, new faces. It's like a new CAP. And so
17 welcome to those of you, and I've seen you in the
18 audience and now I get to see you at the table. We
19 welcome you.

20 So let's briefly go around and introduce
21 yourself by name, and for the new members, what
22 experience do you have and bring to the CAP and
23 what's your affiliation with the community. And the
24 others, you know, name and affiliation will be just
25 fine. Thank you.

1 **DR. CLAPP:** My name's Richard Clapp. I've been
2 on the CAP for eight years. I'm at Boston
3 University School of Public Health and the
4 University of Massachusetts.

5 **DR. CANTOR:** My name is Ken Cantor. This is my
6 first meeting at the CAP. I'm a new member. I'm
7 here as a technical expert. My background is as an
8 epidemiologist, environmental and occupational
9 epidemiologist, at the National Cancer Institute. I
10 retired from that position about five years ago, in
11 fact I think it's five years ago today. And since
12 then I've been on a part-time contract with my
13 former group at NCI, helping them with a number of
14 issues, ongoing issues, there.

15 I actually had some experience with this
16 incident. I was chair of the scientific advisory
17 group that met nine years ago, and haven't been in
18 contact with the issue too much since; although, I
19 must say in the last three weeks or so, I've been
20 studying and carefully going over minutes of these
21 meetings and the various scientific literature
22 that's been published.

23 **MR. STALLARD:** Mr. Cantor was the chair of the
24 expert panel that created the CAP. Welcome back.

25 **DR. CANTOR:** Thank you.

1 **MR. STALLARD:** Okay.

2 **MR. ENSMINGER:** I'm Jerry Ensminger, CAP
3 member.

4 **MR. PARTAIN:** Mike Partain, CAP member.

5 **MR. WILKINS:** Steve Wilkins, I'm a public
6 affairs officer with VA.

7 **MR. FLOHR:** Brad Flohr, senior advisor of
8 compensation service, Veterans' Benefits
9 Administration.

10 **DR. BOVE:** Frank Bove, ATSDR.

11 **MS. RUCKART:** Perri Ruckart, ATSDR.

12 **DR. RAGIN-WILSON:** Angela Ragin, ATSDR.

13 **DR. IKEDA:** Robin Ikeda.

14 **DR. STEPHENS:** Hi, I'm Jimmy Stephens. I'm the
15 acting deputy director of NCEH-ATSDR.

16 **MR. MARKWITH:** Hi. I'm Glenn Markwith. I'm
17 with the Navy Marine Corps Public Health Center, and
18 my area of expertise is community involvement
19 planning and public outreach. And the Marine Corps
20 sent me to the CAP meeting to observe and take
21 notes.

22 **MR. STALLARD:** Welcome.

23 **MS. FRESHWATER:** Hi, my name is Lori
24 Freshwater, and I appreciate being allowed to be a
25 part of this discussion and look forward to working

1 together. I lived on Camp Lejeune from 1979 until
2 about 1983. My mother lost two babies to neural
3 tube defects, and then in January of '13, she died
4 of two types of leukemia. So I would like to try
5 and find some good that comes out of all this and
6 work -- my whole life I've worked for veterans and
7 veterans' issues and the environment, so this isn't
8 exactly the way I would want those two things to
9 meet but here I am and I look forward to working
10 with everybody. Thank you.

11 **MR. STALLARD:** Welcome, Lori.

12 **MR. WILKINS:** I'm Kevin Wilkins. I'm a Marine
13 Corps veteran and Camp Lejeune victim.

14 **MR. GILLIG:** Rick Gillig, ATSDR.

15 **DR. FORRESTER:** Tina Forrester, ATSDR.

16 **MR. STALLARD:** And we have the two who were
17 introduced by Robin.

18 (Two speakers off microphone, both inaudible)

19 **MR. STALLARD:** So that was a fascinating
20 example in group learning, so I don't have to tell
21 you to push the button and speak your name. In the
22 future, when you have a comment, we have only one
23 speaker at a time. For those of you who are new, we
24 have some operating guiding principles and some
25 ground rules that we abide by to enhance our

1 interaction together. So again, we talked about one
2 speaker at a time. That's primarily because we have
3 an audience that's listening in on webcast, and it's
4 much easier to listen if there's only one speaker at
5 a time.

6 The audience who are here, this is a public
7 meeting, and so we welcome you to be here but
8 please, you're not to engage in any dialogue unless
9 you have been called upon by the CAP because of your
10 relative expertise in the past.

11 **MS. RUCKART:** Excuse me, Chris?

12 **MR. STALLARD:** Yes?

13 **MS. RUCKART:** I was just asked to let everybody
14 know that when they're speaking, even if they're in
15 the audience, if they can go to the microphone so
16 that our court transcriber can pick it up.

17 **MR. STALLARD:** Good, thank you. I've also been
18 asked, those of you who might have a slide
19 presentation that you brought, that you plan to
20 address, we need to make sure we get that right away
21 so we can get it through clearance and be able to
22 load it up for you.

23 Cell phones, if you have them, please turn them
24 off or on silent stun mode so that we're not
25 distracted by strange noises in your pocket. And

1 then, as you heard us speak about earlier, the
2 ground rules about the personal attacks, criticism
3 and derogatory comments. If we go -- I don't
4 anticipate that but if need be, as we did in the
5 last meeting, the first time in seven years, we had
6 to call a time out and sort of recess so that we
7 could refocus on the topics that we need to discuss
8 together in an appropriate manner. So is there
9 anything else that we should add to the ground rules
10 or guiding principles that you would like to offer
11 at this time?

12 **DR. CLAPP:** Is there anyone on the phone?

13 **MR. STALLARD:** I don't think so. I didn't
14 hear -- thank you for that. Tom Townsend, who's
15 been with us since the beginning practically on the
16 phone, early, early in the mornings for him. He's
17 not with us at this time on the phone. So, anybody
18 on the phone? All right, so if there are no other
19 operating principles or ground rules, can we abide
20 by them? Can we abide by them? I need a little
21 acknowledgment that we're all on the same sheet of
22 paper. Okay, thank you. And please, sign in if you
23 haven't signed in. There's a sign-in sheet; it's at
24 the back. And with that, we're going to turn it
25 over to Angela for an update.

1 **ACTION ITEMS FROM PREVIOUS CAP MEETING**

2 **DR. RAGIN-WILSON:** There were a few action
3 items from the September 6, 2013 in-person CAP
4 meeting. The first action item was from Glenn
5 Markwith. And Glenn, there was a request for the
6 CAP or the public to view un-redacted versions of
7 documents on CCE that were posted on the Senate
8 Judiciary Committee website. And also there was a
9 request to invite subject matter experts from the
10 Marine Corps to attend the CAP meetings.

11 **MR. MARKWITH:** Yes, ma'am. Those two action
12 items I took back to the Marine Corps, and got the
13 responses, which I forwarded, for the record.
14 Regarding the first question, on the un-redacted
15 versions of the documents, the 8500 documents were
16 provided in 2012. And with the exception, I think,
17 there was 19 attorney work-related products that
18 were redacted. All of those documents were un-
19 redacted. So the redactions were actually made at
20 the Senate Judiciary Committee level. So everything
21 that we provided, with the exception of those
22 attorney work products, were provided as un-redacted
23 documents.

24 **DR. RAGIN-WILSON:** Are there any questions?

25 **MR. PARTAIN:** The, the disks and the UST portal

1 that the Navy released, were those un-redacted
2 documents too?

3 **MR. MARKWITH:** On which one, Mike?

4 **MR. PARTAIN:** The same documents that you're
5 saying you provided un-redacted, to the Senate
6 Judiciary Committee, there were disks given to
7 Senator Burr's office. Were those un-redacted?

8 **MR. MARKWITH:** That I'm not aware of. The
9 information that they gave me was that the 8500 that
10 were provided to the Senate Judiciary Committee were
11 un-redacted. That's the information they provided.

12 **MR. PARTAIN:** Okay. 'Cause the -- our
13 understanding from the committee was that the --
14 there were documents that were redacted from the
15 Marine Corps, and that they weren't permitted to put
16 on there entirely so that's a little bit of
17 contradictory information.

18 **MR. MARKWITH:** Well, I can certainly take that
19 back and see if I can get that resolved.

20 **MR. PARTAIN:** Specifically what I'm interested
21 in is the Navy UST electronic portal. There are
22 several documents that do not appear to be in any
23 formal work -- attorney work client privilege
24 protected. Some of the FOIA notes don't even --
25 they don't even list that. And they're heavily

1 redacted in certain areas. And things like that.
2 One document in particular was a press release
3 write-up for the Hadnot Point fuel farm, that
4 apparently was never released. That was -- the
5 entire page is gone -- redacted.

6 **MR. MARKWITH:** I can take that back. And the
7 information that they gave me was related to the
8 original question on the Senate Judiciary Committee,
9 the 8500 documents that were turned in to them.

10 **MR. PARTAIN:** Okay.

11 **MR. MARKWITH:** But I can certainly take that
12 back.

13 **MR. PARTAIN:** And I'd be curious to know who --
14 and I guess that's contradictory to what we've been
15 told from the Committee, that the documents were
16 sent are redacted, so I'd like to have a name for
17 that, please.

18 **MR. MARKWITH:** And on the second issue, the
19 Marine Corps is committed to the founding principles
20 of this meeting, and that's why they sent a
21 representative. And I asked them, you know, I took
22 for an action to take this particular one back to
23 invite subject matter experts, and the original
24 press release says that we would continue to send a
25 representative to observe and take notes. And they

1 asked that I continue to attend to observe and take
2 notes.

3 **MR. PARTAIN:** And this is Mike Partain again,
4 with all due respect and no disrespect to you,
5 Glenn, a note-taker is, is not what we're asking
6 for.

7 **MR. MARKWITH:** Understood.

8 **MR. PARTAIN:** Okay. And the continued absence
9 of the United States Marine Corps from these
10 meetings sets the revelation of the benzene and
11 redaction of the revocation of the public health
12 assessment has been noted in the community, and
13 their absence is -- (indiscernible).

14 **MR. ENSMINGER:** Their silence is deafening.

15 **DR. RAGIN-WILSON:** If there are no further
16 questions, we'll move on to the next action item.
17 The next action item was for ATSDR. And the request
18 came from the CAP. They asked the agency to invite
19 representatives from CDC's Division of Cancer
20 Prevention and Control to the next in-person meeting
21 to discuss their work on cancer registries. And as
22 Dr. Ikeda mentioned earlier, Dr. David Espey, he's
23 the director of the Division of Cancer Prevention
24 and Control, he's scheduled on the agenda to give a
25 presentation on their work with cancer registries.

1 For those of you who are streaming online, Dr.
2 Espey's presentation will begin promptly at
3 10:00 a.m.

4 The next action item was also for ATSDR. We
5 were requested to provide ongoing updates to the CAP
6 about the progress of the cancer incidence study.
7 And again, as Dr. Ikeda mentioned in her opening
8 remarks, she and Dr. Frank Bove will provide an
9 update on the cancer incidence study, and this
10 session will also begin promptly at 11:15 a.m.

11 The next action item is also for ATSDR, and
12 specifically for Dr. Tina Forrester, to provide a
13 response for why tank farm site 22 was not included
14 in a 1997 public health assessment and also to
15 assess which cancer slope factor is best to use in a
16 PHA and vapor intrusion evaluation. Tina?

17 **DR. FORRESTER:** I went back and checked the
18 records, and we do need to do research on tank farm
19 422, like you requested.

20 **MR. ENSMINGER:** Which site?

21 **DR. FORRESTER:** Twenty-two. We are currently
22 doing that and the investigation of the soil vapor
23 intrusion. I have made sure that we're using the
24 most current cancer slope factor for TCE based on
25 human studies at renal endpoint, which will be used

1 in all the water and vapor intrusion analysis cancer
2 risk.

3 **DR. RAGIN-WILSON:** Are there any questions?

4 **MR. ENSMINGER:** Yes. What dates are you using
5 for your vapor intrusion?

6 **DR. FORRESTER:** Right now we're currently
7 focusing on 2001 forward. But we want to have a
8 discussion with the CAP about previous times. I'm
9 going into a discussion of all the data that we're
10 looking at, and the decision to go back further is
11 going to be dependent on the available data to get
12 results, so I will discuss that later.

13 **MR. ENSMINGER:** Okay, but my -- our point is we
14 have documents of -- where their contractor told
15 them they needed to do the ambient air quality
16 monitoring in the buildings that were located over
17 these massive plumes. They announced at a public
18 meeting that they were going to -- that they were
19 going to be conducted. We found a letter in October
20 of 1988 stating that (indiscernible) requesting
21 funding. And then nothing, okay? So, and then in
22 1998 -- or was it '98 or '99, '98, when they
23 evacuated the 1108? Huh? '99. There were
24 buildings evacuated that were above the fuel farm.

25 Now, Morris and his team had all of these

1 plumes delineated when they did the water model.
2 You have an exposure dose reconstruction team here
3 on staff that have all this information, and they
4 could model these plumes and give the estimates of
5 what they think the vapor would have been in those
6 buildings. And why aren't they being used?

7 **DR. FORRESTER:** Well, that's -- they're not not
8 being used but we're actually going back through all
9 the data, because actual environmental measures are
10 better than modeled results.

11 **MR. ENSMINGER:** Oh, I agree but they're telling
12 you they don't have the...

13 **DR. FORRESTER:** Well, okay, Jerry, I'm going to
14 go -- my presentation, we received 40,000 documents
15 on soil vapor intrusion that date back a long time,
16 and we are doing key word searches on every one of
17 those documents regardless of date to look at these
18 issues.

19 **MR. ENSMINGER:** All right, when did you get
20 those?

21 **DR. FORRESTER:** We've had them since maybe,
22 last year.

23 **MR. ENSMINGER:** Really?

24 **DR. FORRESTER:** Yes, sir.

25 **MR. ENSMINGER:** Well, why didn't you tell us?

1 **DR. FORRESTER:** I guess we didn't have a good
2 talking relationship, and I'm sorry about -- I'm
3 sorry about that, but we have done due diligence on
4 these. We have a long way to go on these records.
5 We do want to discuss going further back. We've
6 looked particularly in that time period from 1998 to
7 2001, because of the issue that it was recorded they
8 were going to do an investigation, that the letter
9 from the military that says they are not sure they
10 did or didn't, so we're actively looking for that
11 material as well.

12 **MR. ENSMINGER:** Has anybody gone back and
13 requested for them to look at their contracts? They
14 might -- they may not be able to find, and they will
15 certainly be able to tell you all of that happened
16 such a long time ago, we didn't retain all that
17 stuff. Well, number one, they're in violation of
18 CERCLA, okay? Number two, if they can't find the
19 documents for the actual tests and the results,
20 let's see if they released a contract, because
21 that's what the last letter was for, was to get an
22 external contractor to come in and perform the
23 ambient air quality sampling. But we're going to
24 have this discussion later.

25 **DR. FORRESTER:** Yes, yes, we are.

1 **MR. STALLARD:** Thank you.

2 **DR. RAGIN-WILSON:** Thank you. The next action
3 item is also for ATSDR. There was a request from
4 the CAP to update the ATSDR website with TCE is a
5 known human carcinogen. And I'll turn it over to
6 Captain Ed Murray.

7 **CAPTAIN MURRAY:** Good morning. I'm Ed Murray.
8 I'm the acting director for the Division of
9 Toxicology and Human Health Sciences. So we had
10 this discussion last time about the classification
11 of cancer. That has been changed on our website to
12 reflect not only the EPA classification but the
13 other two. For your information also, we have an
14 addenda that is updated in the literature that we
15 will attach also to that website that has -- it
16 reflects all three, including the EPA
17 classification. And then we have the updated tox
18 profile. It is going out for public comment, and
19 that will be released probably late summer-early
20 fall, and that will also reflect the updated
21 classification.

22 **DR. RAGIN-WILSON:** The next action item is for
23 the Veterans Administration. And the request was to
24 clarify the Veterans Administration was in the first
25 or second year of their budget cycle, and this was

1 **MR. ENSMINGER:** Turn your mic off. That's what
2 makes this thing ring. You want to talk about
3 improving communications. You can't improve the
4 communications, whenever we send comments or
5 requests for action items, whatever -- what have
6 you, and we never get a response back. I mean, and
7 yet we're supposed to be sitting out there -- and
8 then there's this -- once we get frustrated, then
9 we're being disrespectful. I mean, what do you
10 expect whenever one side is communicating and the
11 side that's supposed to be working for us isn't?

12 I mean, you have 40,000 documents that you got
13 last year, the affected community didn't even know
14 about. We have -- you know, you know, the
15 frustrations -- Dr. Ikeda, you and I had a
16 discussion over the phone last Friday, and we were
17 talking about a certain individual that works for
18 the CDC, and that that could represent a conflict of
19 interest, okay? Put yourself in our shoes. I'm a
20 career Marine, retired. Who was responsible for the
21 contamination at Camp Lejeune? Who was it? The
22 Department of the Navy. When I come to a CAP
23 meeting the first time, I look at a room that's
24 filled with Navy uniforms and Navy ranks. You want
25 to talk about a conflict of interest, something that

1 makes me suspicious right from the get-go about the
2 intentions? And then the actions that have been
3 taken that we had to fight every step of the way to
4 get the initiatives that have been taken by this
5 agency? We had to fight for everything, almost
6 everything. And I don't get it. Why? You have
7 people from ATSDR -- I talked to the head of the
8 environmental management department at Camp Lejeune,
9 that told me an individual from ATSDR showed up at
10 Camp Lejeune in 1991. She was wearing her Navy
11 uniform with captain's insignias and was walking
12 around purposely in her uniform getting saluted.
13 Really? I mean, you know, this concerns me.

14 **MR. STALLARD:** Would you like to briefly
15 respond before we move on with the VA?

16 **DR. IKEDA:** I was just going to respond to the
17 original point. I don't know about the
18 communication regarding Mr. Smith, but one of the
19 purposes of Sheila's presence is to be that point of
20 contact. And here we've heard the concerns about
21 lack of timeliness in terms of responding or even
22 acknowledgment of emails and other requests. So
23 again, Sheila's presence, I think, will be very
24 helpful in that regard towards getting timely
25 responses and acknowledging the emails and sharing

1 information.

2 **MR. STALLARD:** Thank you. And we will have
3 time toward the end of the program to address
4 additional concerns that have yet to be addressed.
5 So we have limited time available for our VA
6 colleagues right now, and I'd like to turn it over
7 to -- we have -- it's 9:30, right?

8 **DR. RAGIN-WILSON:** She's supposed to be calling
9 in.

10 **MR. STALLARD:** Calling in, who?

11 **DR. RAGIN-WILSON:** Dr. Victoria Davey.

12 **MR. STALLARD:** Dr. Victoria Davey, so I will
13 ask the question. Dr. Victoria Davey? I hear not.
14 So you're on the phone but we can't hear you just
15 yet.

16 **MS. FRESHWATER:** Somebody told me online that
17 they could hear people that we can't hear.

18 **MR. STALLARD:** Okay.

19 **MR. PARTAIN:** There was something about, too,
20 the video was -- wasn't centered on the CAP.

21 **MR. STALLARD:** All right, so do we have some
22 technical support work -- we can see how we're being
23 viewed on the screen? That would be helpful. Can
24 you hear us on the phone? How would we know? I can
25 hear everyone in the room.

1 **MS. FRESHWATER:** Someone said that they could
2 hear Victoria on the line -- on the live feed.

3 **MR. STALLARD:** Okay. We're experiencing -- for
4 those of you who are on the phone, there's a lull in
5 activity at the moment as we're experiencing
6 technical difficulty calling in our next presenter.
7 So not calling her but hearing her in the room.
8 Should we go on to Brad in the meantime?

9 **DR. RAGIN-WILSON:** The people online can hear
10 the people on the phone but we can't hear them.

11 **MR. STALLARD:** And the people on the phone are
12 the people out there, hear us.

13 **DR. RAGIN-WILSON:** Yes.

14 **MR. STALLARD:** Okay. That's progress so it's
15 just a connection. So until we get that clarified,
16 let's move on to those in the room.

17
18 **VA UPDATES**

19 **MR. FLOHR:** Okay. Brad Flohr. Of course we
20 continue to process claims for disability benefits
21 and health benefits at our Louisville regional
22 office. Recently we had a request from the staff
23 director of the House Veterans' Affairs Committee to
24 go to Louisville. She wanted to see how the claims
25 process was being done there. She wanted to look at

1 medical opinions. I think perhaps they had not seen
2 the regular reports we provide to Senator Burr and
3 his staff before, and so she went and I went down
4 there as well, and we had three of our subject
5 matter experts who provide medical opinions to go
6 there as well.

7 We met with her for a full day, discussed the
8 claims process, how it worked, the issues. She went
9 around with people in the office, and then she spent
10 most of the afternoon looking at claims files and
11 actually sitting down with one of the medical
12 professionals providing medical opinion as he
13 explained how -- what he looked at, what would
14 result in the decision he would make.

15 It went very well. She in fact did not even
16 see a need for exit briefings. And I want to assume
17 that she went back and told Chairman Miller that she
18 was satisfied. I don't know that for a fact 'cause
19 I haven't heard, but that's what I'm gathering.

20 Recently we sent a report to Senator Burr's
21 staff and the (indiscernible) as well for the 14 or
22 so listed conditions that were in the NRC report,
23 plus a couple of others like prostate cancer and one
24 other. We have a grant rate there of approximately
25 27 percent of claims are being granted. The

1 majority of claims continue to be nonrelated
2 miscellaneous type issues like arthritis and hearing
3 loss and tinnitus that people are still filing and
4 there's just no scientific evidence that
5 contaminants in the water would cause arthritis or
6 hearing loss. But we keep getting those claims.
7 That's the majority of the claims, about 9,000 of
8 the 11,000 claims we've received are for
9 miscellaneous type conditions. We continue to work
10 it though and through due diligence we're getting
11 medical opinions whenever someone can provide any
12 kind of evidence to show that what they're claiming
13 may have a relationship with the water, then we get
14 a medical opinion, and even though it generally will
15 not be favorable in those circumstances, we still do
16 it because we are -- we have granted some of those
17 miscellaneous conditions, a couple hundred. So
18 that's really -- that's about all I have right now
19 for the claims.

20 **MR. ENSMINGER:** What about claims for like
21 leukemia? I mean, we -- they're denying people with
22 claims with leukemia.

23 **MR. FLOHR:** Yes, they are; they're also
24 granting them. The grant rate for leukemia cases is
25 somewhere around 30 percent.

1 **MR. ENSMINGER:** Why?

2 **MR. FLOHR:** Why?

3 **MR. ENSMINGER:** Why is it only 30 percent?

4 **MR. FLOHR:** Well, Jerry, you know, I've
5 explained this on a number of occasions, there are
6 no presumptions of service connection for any
7 condition. Every case is decided on a case-by-case
8 basis. If someone was probably at Camp Lejeune for
9 no more than a couple of days, they're probably not
10 going to get a favorable medical opinion even if
11 they have leukemia.

12 **MR. ENSMINGER:** No, this person I'm talking
13 about was there for years.

14 **MR. FLOHR:** Well, you know, I don't know. I'm
15 not a scientist; I don't do the research. But it
16 all depends on how long someone was (indiscernible),
17 which we ask for up front when we develop a claim.
18 And then what other potential exposures in their
19 lifetime, their family history of medical diseases
20 of leukemia, maybe, whatever it might be. All the
21 results and an opinion of whether it's at least as
22 likely as not that the disability was due to
23 exposure at Camp Lejeune. Some of those are
24 granted, some of those, based on the personal and
25 evidence of a particular claim, are denied.

1 **MR. ENSMINGER:** Well, we got our hands on this
2 PowerPoint presentation that was given by a Dr. --
3 produced and presented by Dr. Walters in August of
4 2013. Now, I want to go through some of this stuff
5 that's in this. Once again they're referencing the
6 low *, which was heavily disputed by the former
7 director of ATSDR, Dr. Portier, in an October 2010
8 letter. He -- this PowerPoint was supposed to be --
9 being given to clinicians who were going to be
10 treating Camp Lejeune family members and veterans.
11 They don't even have TCE listed as a known human
12 carcinogen in here. That was reclassified in
13 September of 2011.

14 Is there any difference in the prevalence of
15 disease in the Camp Lejeune population as compared
16 with a similar population? You know, the emerging
17 studies that are being done by ATSDR are showing
18 yes, there is. At what level and for how long were
19 Camp Lejeune residents exposed to contaminated
20 water? It says, answer: Pending further studies by
21 ATSDR. ATSDR's water model was issued last March.

22 Then the next bullet point: Was benzene a
23 significant contamination? Water modeling by ATSDR
24 suggests that benzene was not a significant
25 contaminant in the aquifer. This is being used to

1 train your clinicians, and they don't even have the
2 information right on their bullet points? They're
3 the trainers? I mean, this is --

4 **MR. PARTAIN:** Here's another point in here
5 mentioned about the scientific evidence and
6 everything. The epidemiological studies of solvent
7 contaminated water supplies and adverse health
8 effects are of a limited quality. I mean, that's
9 right out of the NRC report. I mean, that -- where
10 is the basis for that? There are scientific
11 studies.

12 **MR. ENSMINGER:** I mean, it was good to
13 reclassify it.

14 **MR. PARTAIN:** And I mean, the TCE -- first it
15 says something about before this was written up.
16 Now since this has been written up, there are ATSDR
17 studies, but then again, when you're looking at this
18 slide that's being used to train these people, they
19 mention the National Research Council opines that
20 this will not produce useful differential. I mean,
21 you read through this here, and this, this playbook
22 of basically how to deny a Camp Lejeune veteran's
23 benefit claim. I mean, it's disturbing.

24 **MR. ENSMINGER:** It's a roadmap.

25 **MR. FLOHR:** That is not the intent.

1 **MR. PARTAIN:** Well, but the wording on here, I
2 mean, how can a veteran fight something in here that
3 says, the epidemiological studies of solvent
4 contaminated water supplies and adverse health
5 effects are of limited quality. There are tons of
6 studies out there.

7 **MR. FLOHR:** Where is that from?

8 **MR. PARTAIN:** That's on page 6 of this slide:
9 Review of epidemiological studies.

10 **MR. ENSMINGER:** And then they had one on here
11 that says cohort studies of benzene exposed workers
12 and those environmental -- and those environmentally
13 exposed, which would be drinking water and air, show
14 an increased risk of AML and other leukemias. But
15 yet they didn't -- this one person was denied in his
16 claim for leukemia.

17 **MR. PARTAIN:** They also go back in there and
18 right after they -- or right before they say that,
19 water modeling by ATSDR suggests that benzene was
20 not a significant contaminant in the aquifer.
21 Really?

22 **MR. ENSMINGER:** I mean, I think Morris's water
23 model showed the highest levels of average --
24 monthly average was 30-some parts per billion of
25 benzene. What does the VA consider significant?

1 What does Dr. Walters -- you know, I mean, what does
2 she -- well, I mean, I know what the scientific
3 community says and I know what the MCL is; it's
4 five. So who's making these judgments?

5 **MR. STALLARD:** Can I interject here, please?
6 So there is concern expressed by the CAP relative to
7 that training material that they obtained and as it
8 may impact benefits and coverage. And so
9 Dr. Walters is not here to address that. Steve,
10 you're with the VA public affairs; is that correct?

11 **MR. STEVE WILKINS:** I am.

12 **MR. STALLARD:** Okay. So I think the question
13 for now for us is: Will there be an update or a
14 response to the CAP concerns relative to that
15 presentation?

16 **MR. STEVE WILKINS:** I can take that back and
17 respond afterward.

18 **MR. STALLARD:** Okay.

19 **DR. RAGIN-WILSON:** Chris, Dr. Davey is actually
20 on the line. She can hear the discussion. We just
21 can't hear her so I'm asking her can she remain on
22 for another hour or so, and then we can move on.

23 **MR. STALLARD:** If we can get her voice. Well,
24 this is innovative. Can you hear us?

25 **DR. DAVEY:** I can hear you. Can you hear me?

1 **MR. STALLARD:** We can hear you. Welcome.
2 Thank you for joining us. Okay, so you've been
3 privy to some of the conversation that started at
4 approximately 9:35, so would you like to pick up
5 with what you had to address?

6 **DR. DAVEY:** I haven't been able to hear for
7 about the last ten minutes, anything. I didn't hear
8 Brad Flohr talking briefly but I heard only a five
9 seconds of what he said. So let me propose that I
10 start with what I had, and then you stop me if
11 Mr. Flohr has already gone over it.

12 **MR. STALLARD:** Okay, that's fair.

13 **DR. DAVEY:** Okay? So I'm Vicky Davey. I'm
14 chief officer for Public Health for VA. Dr. Terry
15 Walters is the acting director of our post-
16 deployment health group that has been in charge of
17 implementing the Camp Lejeune law for VA. She is
18 with Secretary Shinseki today staffing him on -- at
19 another meeting, and apologizes for not being here.
20 I apologize in advance for -- I may not know some of
21 the nuances and details that she does but I will do
22 my best.

23 I wanted to start with making sure that you
24 know that we have some guiding principles that we
25 are following with regard to implementing the Camp

1 Lejeune law, and there are five of those. They are
2 to maximum the benefits to veterans and family
3 members; to be transparent and especially, and
4 probably most importantly to all of you on the
5 community assistance panel; we also are trying to do
6 this with a maximum amount of efficiency and
7 accuracy that we can do; we are aiming to be as fair
8 as possible at implementing the law and in line with
9 its parameters, but recognizing that that fairness
10 is something that we can achieve by aiming to do the
11 best we can for each individual. We're also trying
12 to minimize the complexity. I'm sure that you all
13 know that implementing a healthcare and insurance
14 coverage is a complex thing when it's a new program.

15 So with regard to where we are with the law
16 implementation, we began providing veteran care
17 immediately following passage of the law on
18 August 6, 2012. We've been contacted by 10,721
19 veterans as of March 16. We have knowledge that
20 1,912 of those veterans report to us that
21 (electronic interference) conditions. Eight hundred
22 and seventeen veterans have so far been treated by
23 VA for one of the 15 covered conditions, and that's
24 as of March 11. And we are continually working on
25 assistance and administrative enhancements that are

1 needed to implement the law fully. So that's
2 veterans' care.

3 So let me switch sides to family member care.
4 So the family member claims payment, recalling that
5 what we will do under this law is pay for
6 unreimbursed family member healthcare costs.

7 (Electronic interference) claims payment will begin
8 once the family member regulation is published and
9 effective. And that regulation is with the Office
10 of Management and Budget right now for their final
11 ruling.

12 We've been contacted by 1,012 family members as
13 of March 16th, and we have reports that 164 of those
14 family members report one of the 15 covered
15 conditions.

16 We are also putting in the administrative and
17 system enhancements to administer this family member
18 program. That includes the mechanism for payment
19 reimbursements as well as the clinical evaluation of
20 family members' claims. We are -- have a -- in
21 production of family member user guide. And we will
22 be publishing policy if we're required to, so that
23 we can be clear about what we're doing to all of the
24 VA family. Family member regulation will reimburse
25 medical costs back to the date of appropriation of

1 the fund to March 26, 2013, so just over a year ago.

2 So with that, let me move on to provider
3 training and outreach. We began talking to
4 healthcare providers and VA staff back in August.
5 We did a comprehensive training of our environmental
6 healthcare team, which are designated clinicians and
7 other experts at each VA medical facility, to
8 familiarize them with the Camp Lejeune law, with the
9 implementation process and its status. Our goals
10 for that training that took place in August and
11 September was that we wanted providers to understand
12 that Camp Lejeune is a real issue with real
13 contamination concerns, and that this is an evolving
14 program. Once we show that they understood that
15 veterans are eligible for care, that they could
16 answer questions about family member cost
17 reimbursement and make sure that they knew that
18 family member reimbursement is available. We also
19 covered during the training other issues about
20 potentially contaminated sites around the country,
21 and let them know that Camp Lejeune is one of
22 potentially other issues.

23 So we've got Brad, Mr. Wilkins, is there
24 anything that you think I should add?

25 **MR. STALLARD:** Well, this is Christopher

1 Stallard, your facilitator. I just wanted to
2 address briefly what the CAP brought up in those ten
3 minutes that you were unable to hear us, 'cause it's
4 relevant to the points that you just made about the
5 training and the concerns expressed to the CAP about
6 that August 12 -- that August training in 2013. And
7 I think Steve Wilkins had some specific points of
8 concern raised by the CAP members about the accuracy
9 of the data shared in those training slides. And
10 the CAP is looking to have some answers back from VA
11 about any future training and the accuracy of that
12 training data that's in those training slides. So
13 that was a discussion that we had here that, I
14 think, it need not get into deep discussion right
15 now with the CAP members, as long as those concerns
16 are raised and addressed.

17 **MR. STEVE WILKINS:** Actually I just wanted to

18 --

19 **DR. DAVEY:** I would be very interested to hear
20 the CAP's feedback about the training.

21 **MR. STALLARD:** Okay.

22 **MR. STEVE WILKINS:** I just want to make it
23 clear that it was Mr. Ensminger who has some
24 concerns about the training.

25 **MR. STALLARD:** Yeah.

1 **MR. STEVE WILKINS:** I'm so far silent on this.

2 **MR. ENSMINGER:** Well, my point is that you
3 can't provide sufficient and valued training to your
4 trainees whenever your training materials are
5 incorrect. Okay? So I mean, this thing is full of
6 omissions, obfuscations, half-truths. The thing
7 looks like a roadmap on how to deny people their
8 benefits rather than provide them. It addresses
9 finding causations other than Camp Lejeune water so
10 that they can deny these people their medical care.
11 Now, I mean, really? But they've got this for
12 action so we'll let that go with that.

13 **MR. STALLARD:** Yeah, thank you.

14 **MR. PARTAIN:** I do want to make one final
15 point. It didn't come out clear in our earlier
16 discussion but throughout the document the NRC
17 report is referenced and cited as supports. There
18 has been a significant development in the scientific
19 body of knowledge since 2009, when the NRC's review
20 of selected literature was accomplished. So I
21 understand that this -- you know, this is not a
22 study so it keeps getting referred to as a study but
23 it is a review of literature. We need to be aware
24 of that, and there's been several studies now,
25 actual hard studies, that have been released. And

1 the training material needs to reflect that, for the
2 benefit of the veterans.

3 **MR. ENSMINGER:** And by the way -- your --

4 **DR. DAVEY:** Thank you for that observation.
5 We'll make a note of that.

6 **MR. ENSMINGER:** And by the way, the VA lists
7 different locations for information on this training
8 PowerPoint. They have the Marine Corps' website for
9 Camp Lejeune drinking water listed as a resource.
10 Really? You're not going to find anything factual
11 on the Marine Corps' website but you don't have our
12 website on there.

13 **DR. DAVEY:** Okay.

14 **MR. STALLARD:** So Dr. Davey, thank you very
15 much for taking time to call in and -- to us today.
16 There are some concerns raised by the CAP members,
17 and Mr. Wilkins has heard those and will be able to
18 convey them in perhaps greater detail. Or I might
19 suggest if you feel necessarily -- necessary to
20 follow up with some of the CAP members as well on
21 these concerns expressed. So thank you very much.

22 **DR. DAVEY:** Thank you. We're happy to do that
23 and thank you for giving me the time to speak, and
24 to listen to those interesting conversations.

25 **MR. STALLARD:** It is that. Thank you. Okay.

1 We're moving on now to -- we have a limited window
2 of opportunity and we're very pleased to be joined
3 today by the CDC Division of Cancer Prevention and
4 Control, who will make a presentation for us.

5
6 **DIVISION OF CANCER PREVENTION AND CONTROL**

7 **DR. ESPEY:** Well, thanks very much for the
8 opportunity to be here and share an overview of the
9 National Program of Cancer Registries with the CAP
10 and others in the audience. I do have a
11 presentation.

12 **MR. STALLARD:** You do have a presentation?

13 **DR. ESPEY:** Yes. So I'd like to cover,
14 briefly, in the next few minutes, what the NPCR is
15 and what the origins of it is. So NPCR stands for
16 National Program of Cancer Registries. And I'll go
17 a little bit into the NPCR but also a broader
18 picture of cancer registration coverage for the U.S.
19 population over time. And the issue of time is
20 important here. And then I'd like to move into the
21 scope of cancer surveillance and the data flow from
22 the point of diagnosis to the flow of the data to --
23 either from the provider to the facility, and then
24 onto the registry, and then onto the CDC, because I
25 think those are issues that have come up in the

1 past. And then finally how CDC uses these data and
2 how others use the data.

3 So what is NPCR? The origins of it are in the
4 legislation called Cancer Registry Amendment Act of
5 1992, which authorized the CDC to establish a
6 network of cancer registries and allocated funding
7 to -- allocated funding for states and territories
8 to enhance registries, if they already had a
9 registry, and some states did have registries. They
10 might have been incomplete for the entire state, if
11 they did have registries, or if the state did not
12 have a registry, to plan and implement registries in
13 those states.

14 To do this, the states were required to have
15 state legislation authorizing the collection of
16 cases diagnosed within that state and residents in
17 that state. And then also if they did have some
18 registration activity, formal registry or the
19 beginnings of a registry and were using funds, state
20 funds, they were required to continue to use those
21 funds, or if it was a new registry, to provide funds
22 to -- funds or in-kind resources to support the
23 development of a registry.

24 This is an overview of the current registry
25 system in the United States. We're focusing on the

1 NPCR today but it's important to realize there are
2 two registry systems. In the yellow is the system
3 called the Surveillance Epidemiology and End Results
4 program, which is supported by the National Cancer
5 Institute, and in the green are the states that have
6 registries supported by the CDC and the NPCR
7 program. And the hatched states, the green and
8 yellow hatched states, are the states that are
9 states or metropolitan areas that receive resources
10 in support from both the CDC and the National Cancer
11 Institute. It's important to realize that the
12 registry system developed slowly over time, and I'm
13 going to show you a series of slides that show the
14 temporal development of the registry system
15 starting, and this regardless of whether it was
16 National Cancer Institute or CDC supported. The
17 first was back in 1970, happened to be the ones that
18 were supported by the SEER program, which was the
19 first registry system instituted in the United
20 States in the four states of Utah, New Mexico,
21 Connecticut and Hawaii. And in 1980 there were some
22 17 states that had registries. In 1990 there were
23 some 33 states, territories and islands that had
24 registries. In 2000, 49 states had registries, and
25 then in 2010 all 50 states have central -- what we

1 refer to as central cancer registry. So cases
2 diagnosed within the state were reported to the
3 cancer registry and considered in most cases
4 complete ascertainment of cancer cases in most
5 states.

6 This is information that is collected routinely
7 and in a standardized way by the state cancer
8 registries. Demographic information, which is race,
9 ethnicity, gender, age and other, obviously in some
10 cases occupation; other types of information, the
11 cancer type, the specific cancer type, stage, which
12 typically is local, regional, distal, but staging it
13 by complicated systems. Prognostic factors or
14 biomarkers, limited treatment information, vital
15 status, whether the person is alive or deceased, and
16 then patient identifiers are also collected by the
17 registry.

18 So this is a logistic overview of how the data
19 flow from the point of diagnosis, which could be
20 either a physician's assistant -- from either -- can
21 you see the...? From either the providers' office
22 or one of the facilities, which could be a hospital,
23 an outpatient center, laboratories or cancer
24 treatment centers. This information is sent to the
25 central cancer registry with personal identifiable

1 information, which typically is the name, Social
2 Security Number, date of birth, date of death,
3 sometimes specific residential information. And at
4 the state cancer registry, the data are cleaned,
5 edited and analyzed, and any missing data that needs
6 to be addressed, there's a feedback loop in
7 communications with the reporting unit to try to
8 clarify or fill in the missing information. This
9 reporting can be electronic; it can be hard copy or
10 a mix. Some states have more electronic than
11 others. But this whole left side here does involve
12 personally identifiable information.

13 After this is done and the data -- de-
14 identified and standardized, they're sent to the CDC
15 and NPCR program as de-identified information, not
16 including any identifiable information that would
17 allow anyone at CDC to identify an individual.

18 And I know there has been some questions about
19 why CDC and others don't receive identifiable
20 information, so I do have -- I do have some of the
21 language from the authorization legislation that I
22 shared with you in the beginning, and it states that
23 each grantee, the grantee being the state's state
24 registry, must provide, and I'm going down to the
25 bullet that's relevant to this, for the protection

1 of the confidentiality of all cancer case data
2 reported to the cancer registry including a
3 prohibition on disclosure to any person of
4 information reported to the statewide cancer
5 registration that identifies or could lead to the
6 identification of an individual cancer patient,
7 except for disclosure to other state cancer
8 registries and local and state health officers. And
9 it continues: A means by which confidential case
10 data may be in accordance with state law being
11 disclosed to cancer researchers for the purposes of
12 cancer prevention, control and research. Move on.

13 The scope of, again, we're focusing on the CDC
14 registration system and NPCR. There are 48 blended
15 programs, 45 states, the District of Columbia,
16 Puerto Rico and Pacific Islands jurisdiction. NPCR
17 U.S. population coverage, this is independent of any
18 SEER or NCI coverage. It's about 96 percent of the
19 U.S. population is covered. And then when you
20 include the SEER programs, the population coverage
21 is now a hundred percent.

22 NPCR surveillance system, again, 96 percent,
23 collects about 1.2 million new and basic cancer
24 cases per year, and again, electronically from the
25 registries. The database includes -- a total

1 database includes approximately 7.4 million basic
2 cancer cases from 1995 to 2007. And I'll again
3 emphasize that this does not include reporting from
4 all the states or registries for that entire period.
5 Some registries came online later and don't have
6 data for that full time period. And then neither
7 CDC nor the National Cancer Institute receives
8 identifiers, again, which is name, address, Social
9 Security Number, date of birth, et cetera, that
10 would allow the identification of a given
11 individual.

12 So what do we use that for in general? We use
13 it to guide planning, implementation and evaluation
14 of cancer control programs at the local, state and
15 national level; describe cancer patterns in the U.S.
16 and try to identify areas that need to, to -- where
17 we can intervene to try to decrease the cancer
18 burden; identify and document disparities, which is
19 an important goal here at CDC; and also provide data
20 for prioritization of increasingly scarce health
21 resources and to support research as needed. The
22 data are distributed a number of ways, and there's a
23 cancer registry system that is maintained in
24 electronic form online, called the USCS, United
25 States Cancer Statistics, which includes both the

1 CDC-collected and NCI for National Cancer Institute
2 collected data. CDC WONDER is a system, an online
3 system, that a user can query to get more specific
4 information for their purposes. State cancer
5 profiles is a program maintained and distributed by
6 the National Cancer Institute, that profiles in
7 detail the burden of cancer-specific states. And
8 then CDC has a tool, a cancer atlas, which is a GIS
9 information system tool that also provides some
10 additional information about the distribution of
11 cancer. And I have a couple of examples, some
12 slides from the cancer atlas. So that was the end
13 of my overview. And is there questions?

14 **MR. ENSMINGER:** Yeah, I got one. So what
15 you're saying is your non-personal identified CDC
16 registry is basically worthless for an issue like
17 Camp Lejeune.

18 **DR. ESPEY:** It would not be useful for an issue
19 like Camp Lejeune. Not identifiers.

20 **MR. ENSMINGER:** We already have the
21 identifiers. You know, I mean, this is exactly --
22 the Camp Lejeune issue is exactly why we need a
23 national cancer registry, a viable, workable cancer
24 registry where researchers that have a need to know,
25 that are cleared to have the access to this

1 information, there can be a one-stop shop for
2 researchers to do their research. And it'll be
3 meaningful research because the way this is set up
4 now, you have to go to 50-plus cancer registries,
5 and about half of them won't even cooperate.

6 Now, I want to know something. Why are federal
7 taxpayers' dollars going to cancer registries that
8 will not participate in federal research? I mean,
9 if we're going to defeat cancer, like all these
10 politicians I hear, every time they get in front of
11 a camera and they start talking about cancer, they
12 want to defeat cancer within their lifetime. But
13 then they don't give the researchers the tools to do
14 it. Why?

15 **DR. ESPEY:** Well, I -- for the purposes of the
16 -- the main use the CDC makes of these data, which
17 is surveillance and trying to identify base
18 disparities, it is useful. For the purposes of a
19 specific research project like a linkage study, this
20 particular data set would not work. We do not
21 currently have the registry --

22 (Interference)

23 **MS. RUCKART:** It's the streaming. There's a
24 delay so...

25 **DR. ESPEY:** So I can't disagree with that, I

1 think the tools that we currently have --

2 (More interference)

3 **DR. ESPEY:** Given the tools and the current set
4 of circumstances that we have, the reality is to
5 move forward with the study as I understand it, and
6 I don't know all the details, but it does involve
7 linkages with the cohort and registries, including
8 identifiers, which would require state-by-state to
9 process. There is not a national registry
10 currently.

11 The potential for that in the future is, is --
12 you know, I think it would be a good thing but
13 currently, currently, we don't have that tool
14 available. The linkages can be -- they can be done.
15 It is a very cumbersome thing to go state-by-state.
16 It takes resources. It takes personnel time. It
17 takes some technical knowledge and tedious review of
18 linkages, but it can be done. And we do stand ready
19 to help with that if the decision to move forward is
20 made.

21 **DR. CLAPP:** That was my question. Can you
22 support the states that are requested to do linkages
23 when the time comes?

24 **DR. ESPEY:** Well, what we can do from the CDC
25 Division of Cancer Prevention and Control is help

1 facilitate the contacts, the communication with the
2 state registries. We do have some tools available
3 to conduct linkages that are -- that have been made
4 available for free to the states, to conduct their
5 own linkages. The states do linkages with their own
6 state registries -- excuse me, the vital statistics
7 databases and other cancer-based registries like the
8 breast and cervical cancer control program
9 registries. So they do have that capacity, and we
10 can provide technical assistance to that.

11 **DR. CLAPP:** Do you have financial leverage as
12 well?

13 **DR. ESPEY:** We do not have the financial
14 ability to do that or the staffing. I mean, our
15 efforts would be in the realm of facilitating the
16 linkages. Nor do we have the scientific -- I mean,
17 these are very specialized -- it's very specialized
18 circumstances where you have exposures that are
19 intermittent from the cohort side, registries that
20 are contributing information for different years.
21 So that, I think, I would not say that we have the
22 expertise for that. We can provide some technical
23 assistance around the linkages and certainly help
24 facilitate communication with the individual cancer
25 registries.

1 Now again, I am speaking about the
2 CDC-supported registries and it's important to
3 remember that there is another set of registries
4 that is critical to the overall national registry
5 system that are supported by the NCI, so this would
6 be a conversation that would be needed for the
7 National Cancer Institute as well.

8 **MR. PARTAIN:** Question. What can be done
9 congressionally to support something that we're
10 trying to do here?

11 **DR. ESPEY:** That, I would -- I don't know. I
12 would have to defer to ATSDR.

13 **MR. STALLARD:** Excuse me, just a minute. Do
14 you have convening authority?

15 **DR. ESPEY:** I actually don't know. I'm the
16 acting director, while we're recruiting for a
17 permanent director and I don't know the answer to
18 that question.

19 **MR. STALLARD:** Well, that's good 'cause we're
20 trying to find out how together we can move forward
21 with this extremely complex situation. And we need
22 everybody's expertise at the table.

23 **MR. ENSMINGER:** Absolutely.

24 **MR. STALLARD:** So, Dr. Cantor, do you have
25 anything you want to contribute?

1 **DR. CANTOR:** No, I don't. One or two
2 questions. On -- to what degree of resolution are
3 the data available? In other words, if I wanted to
4 calculate rates for particular counties or for
5 particular states, in particular if I had 10 or 15
6 states and I wanted to get a rate for maybe
7 individual age groups or males or females or race
8 groups, am I able to do that with the data that CDC
9 has?

10 **DR. ESPEY:** You can. Again, you would have to
11 factor in the fact that the data are not being
12 contributed from every state for an entire time
13 period, so you would want to -- if you wanted -- if
14 it was a specific county it -- likely if it's a
15 smaller county the estimates would not be as
16 reliable because if there are not as many cancer
17 cases, it's not what we call a stable estimate. But
18 if there are larger numbers we have more confidence
19 in the estimates. But in general the data are
20 available. How reliable they are, just based on the
21 number of cases, it just depends on the specific
22 county or a specific state or geographic region.

23 **DR. CANTOR:** And a second question. Do you
24 have a validation system built into the data
25 collection?

1 **DR. ESPEY:** There is extensive validation of
2 the data in both systems, and standardization of the
3 data, that has been in place for a number of years.

4 **DR. CANTOR:** So periodically you go back and --

5 **DR. ESPEY:** Every year. All the data are
6 validated.

7 **MR. STALLARD:** We have time for one more
8 question before break.

9 **MR. PARTAIN:** Just so I can understand this
10 better. You know, what I'm hearing is a generic --
11 you know, what you're giving is generic data. Like
12 I said, in our case, Jerry mentioned we have
13 specific, you know, individual data from the DMDC
14 which is the Department of Defense, where we have
15 people. And what you were saying earlier on the
16 flow chart the states have the individual breakdown
17 of their data. What would happen if CDC or ATSDR,
18 using your system here, was to go backwards and say,
19 here's the people we have, can you tell us if
20 they've had cancer or, you know, if they've had
21 cancer in their lifetime, and then go back to the
22 states, what would happen?

23 **DR. ESPEY:** If we had gone through all the
24 necessary steps to access --

25 **MR. PARTAIN:** What are the necessary steps?

1 That's what I'm trying to conceptualize is, you
2 know, we have the information. We have the specific
3 parts. And we would want to go backwards to track
4 this down. So how would that work?

5 **DR. ESPEY:** Right. I think whether it's a CDC
6 effort or a community effort or some other agency,
7 the steps at the state level would be the same. The
8 states are the owners and -- of their state resident
9 data. And all the states are different.

10 **MR. PARTAIN:** But you said there was a -- one
11 of the provisions for that was for research. The
12 CDC is conducting the research and they're saying,
13 here, we've got this information, states, that would
14 be a legitimate need. Why wouldn't the states
15 provide that information?

16 **DR. ESPEY:** So that's a very good question.
17 And I do have a couple of slides here just in case
18 some issues came out around this. And it's again,
19 this is all at the individual state level. Whoever
20 was doing this would need to go through these steps,
21 whether it was ATSDR, CAP, whoever. And this is
22 typically for each state, the -- it would involve
23 some version of these steps: A cancer registry data
24 use application; a study protocol; a list of data
25 items that are needed; and then data use and

1 confidentiality agreements. The issue of having to
2 go back and contact individuals that were diagnosed
3 would not be applicable in most instances.

4 **MR. ENSMINGER:** But that's what needs to be
5 done.

6 **DR. ESPEY:** Right. So this is the difficult
7 part of this. And this is the current set of
8 circumstances to do this sort of exercise.

9 **MR. ENSMINGER:** Who controls the purse strings?
10 Who doles out the money to these cancer registries
11 from CDC? Who?

12 **DR. ESPEY:** The CDC, through this legislation
13 and appropriation, sends about \$37 million out to
14 the states.

15 **MR. ENSMINGER:** Who does that?

16 **DR. ESPEY:** It comes through Congress.

17 **MR. ENSMINGER:** Yeah, but who doles the money
18 out? Do you?

19 **DR. ESPEY:** I don't personally. The staff in
20 the cancer division does that.

21 **MR. ENSMINGER:** Is that right? So if they
22 won't cooperate with a study, why don't you just
23 say, we're going to pull your funding?

24 **DR. ESPEY:** I don't know that you need to think
25 that they wouldn't cooperate. I mean I think they

1 would have their own local state-level circumstances
2 to meet with the needs of someone requesting
3 identifier information but I don't think there's any
4 reason to think they wouldn't cooperate.

5 **MR. ENSMINGER:** Well, there was only 28 that
6 participated that cooperated with the VA when they
7 did their Gulf War study. There was only 28 states
8 participated. The other ones declined.

9 **DR. ESPEY:** I don't know the circumstances that
10 lead up to that study. I will say that we are in a
11 position to try to facilitate clear communication
12 with the grantees, the NPCR, not the NCI. That's
13 not our role. If this moves forward, we can play
14 that role and I think try to maximize participation
15 through that.

16 **MR. ENSMINGER:** I mean, those 28 states -- let
17 me make this point. Those 28 states constituted
18 80-some percent of the American population, and so
19 it was an effective study.

20 **MR. STALLARD:** Well, we're going to talk about
21 this at 11:15 in greater detail. Thank you. I'm
22 sure we'll be hopefully working with you again in
23 the future.

24 All right, it's time for a break. Just a
25 little announcement, Morris had said yes, the rest

1 rooms out the door to the left or the right. I will
2 say you go out the door, turn right, and then turn
3 left is where you'll find the rest room facilities
4 if needed. And please enjoy the food that's been
5 provided. Be back in 15 minutes

6 **MR. ENSMINGER:** Dr. Ikeda, would you like to
7 sit down and have lunch with me today?

8 **DR. IKEDA:** I'd be delighted.

9 (Morning break, 10:29 till 10:45 a.m.)

10
11 **PUBLIC HEALTH ASSESSMENT ACTIVITIES**

12 **MR. STALLARD:** All right, folks. Please, we
13 need to resume. Please take your seats. All right,
14 we're going to begin the next session on the agenda
15 with Dr. Tina Forrester to provide an update on the
16 public health assessment activities.

17 **DR. FORRESTER:** We have distributed a handout
18 for everyone. I think it's easier to follow the
19 presentation, and then you'll have the list of
20 references I'll be talking about. So we have a team
21 of at least eight people in our division working on
22 the revision of the public health assessment. And
23 we agreed to go back and evaluate the past exposures
24 to volatile organic compounds using the modeling
25 results compiled -- or completed in March 2013. As

1 part of the drinking water re-evaluation, we felt we
2 also have to go back and review the current base
3 water modeling data to ensure that the actions that
4 we requested to mitigate lead exposures identified
5 in the 1997 health assessment are adequate and are
6 protecting public health. So basically the revised
7 public health assessment will contain two
8 components: The evaluation of the drinking water
9 pathway, based on the dose reconstruction data, and
10 evaluation of the vapor intrusion pathway. And
11 we're going to conduct that evaluation base-wide.
12 So we're not just going to look at just one area but
13 we are going to focus on Hadnot Point, but we are
14 going to look base-wide for the impacts of vapor
15 intrusion.

16 Progress to date. We have done a lot of work
17 on the drinking water pathway because the data was
18 readily available from Morris's water modeling data.
19 We have evaluated the ingestion, inhalation and
20 dermal contact pathways for all the VOC contaminants
21 on the reconstructed data. And we use the
22 reconstructed data from Hadnot Point, Holcomb
23 Boulevard and Tarawa Terrace.

24 We have evaluated the exposures for these
25 groups: military workers, both actively training

1 and working on base, pregnant women living on the
2 base, children living on the base and long-term
3 workers on the base. We have, based on the last CAP
4 meeting, updated some of the exposure durations and
5 drinking water intake assumptions based on you-all's
6 input. There was concern raised that actively
7 training military personnel may consume a lot more
8 water than we originally thought. We got some
9 guidance from the data source, RAIS, something like
10 that, that told us -- okay, a reasonable quantity of
11 water by the military personnel would consume by
12 actively training --

13 **MR. ENSMINGER:** Who's RAIS?

14 **DR. FORRESTER:** I think I have -- maybe have
15 the wrong acronym but it was --

16 **MR. GILLIG:** The military had guidelines for
17 providing drinking water to troops, and that's the
18 document we use.

19 **MR. ENSMINGER:** Okay, and, you know, when
20 you're considering exposures, okay, every Marine
21 Corps unit has organized physical training three
22 times -- at least three times a week. So when you
23 get up in the morning, you fall out in formation in
24 PT gear; you go out and you do your calisthenics
25 around the table, and then you do a run. When you

1 get back, you take a shower, get your uniform on, go
2 to the chow hall, eat morning chow, and then you
3 have morning formation prior to dismissal on going
4 back to your working areas. Now, when the day is
5 done, what's the first thing you do?

6 **DR. FORRESTER:** Go to sleep?

7 **MR. ENSMINGER:** No, you go take a shower.

8 **DR. FORRESTER:** Okay. I don't know.

9 **MR. ENSMINGER:** 'Cause you're slimed up from
10 working all day. That's two showers a day.

11 **DR. FORRESTER:** We assumed that approximately
12 three days a week they were in active training and
13 probably drinking about six liters of water during
14 those active periods.

15 **MR. ENSMINGER:** At least.

16 **DR. FORRESTER:** At least. We figured that was
17 a reasonable average. And then on their off days,
18 not training, they were probably drinking comparable
19 to an average adult, which was about half that
20 amount, three liters. So three days training a
21 week, is that reasonable to assume?

22 **MR. ENSMINGER:** Well, that's three days of
23 physical training. Now, you know, I mean, the
24 entire work week is training all day long. It's
25 either working in your military occupation specialty

1 or going to classes or going out and doing exercises
2 there close to the barracks. Now, when units were
3 in the field, they had bulk water sources like water
4 buffaloes, which are trailers that are pulled by
5 trucks, or they had M50 tanker trucks, which were
6 specifically water tankers, and then they had
7 tractor-trailer water delivery units.

8 They had a water point at Hadnot Point, the
9 concrete slabs, where you pull your vehicles up, and
10 they had overhead pipes that came up, and they had a
11 piece of fire hose connected to the drop. And when
12 you pulled your trailer up there or your tanker or
13 whatever, you pulled the manhole up there, you
14 opened it up, you put that end of that fire hose
15 down in there and you went down and you opened the
16 valve, or unchained the valve, and it delivered the
17 water in the tanker. And then they took that out
18 into the field for field units.

19 So water consumption, water usage, I mean, look
20 at the mess halls. Your cooks and the people that
21 were on mess duty, these people worked in a virtual
22 gas chamber, because they had these huge, huge steam
23 kettles to cook these large batches of food in the
24 galleys. They had a dishwashing machine that was
25 running 24/7 in the scullery, to clean knives, forks

1 spoons, trays. And then you'd have a pot check in
2 the back of the galley, where they washed the big
3 pots and pans and all that. Not to mention you had
4 a steam table where they kept the food hot on the
5 serving line. These guys were exposed to massive,
6 massive levels. And that is the 3300 MOS.

7 Now, another area was the civilian employees
8 that worked in the base laundry. And I have this
9 from a reliable source, most of those civilians that
10 worked in that base laundry, and this had nothing to
11 do with dry cleaning; this was all washing, okay?
12 They washed the coveralls, the shop rags, they
13 washed the table cloths, all the sheets and
14 pillowcases. All that stuff was pressed with these
15 huge pressing machines. Those people worked in a
16 gas chamber all day long. And I -- the reliable
17 source that I have was Mr. Wooten, who was the
18 environmental -- he was in charge of the base
19 environmental department. A lot of those people
20 lived in ^ that worked in that laundry. And they
21 would drive to his house and they would take turns
22 who would drive that week. They were pooling to go
23 to work. Every one of those people that he knew,
24 that used to ride with him to work and back to his
25 house, are dead. They all died of cancer, every one

1 of them.

2 **DR. FORRESTER:** It sounds like to me that one
3 of the productive things that we did do together is
4 we're pretty much to a draft stage where we could
5 look at what we've done and get feedback that would
6 be meaningful to fine-tune both who's exposed and
7 exposure duration and I guess feasible consumption
8 or rates of exposure. And I understand that you all
9 did participate in reviewing Chapter B and D prior
10 to public comment, which is something I think that
11 we should do.

12 **MR. ENSMINGER:** Absolutely. I mean, that's
13 what I'm asking for. I mean, I'm asking to be
14 involved. I mean, we were involved with Morris.
15 And you know what? We didn't always agree with
16 Morris. Sometimes we got into shouting matches but
17 we always ended up as friends at the end. I mean,
18 and when they -- when Morris gave us a reason for
19 why they were doing what they were doing and he
20 showed us the reason, we accepted it, I mean.

21 **DR. FORRESTER:** We would very much like that
22 relationship in our division with the CAP.

23 **MR. ENSMINGER:** Well, good.

24 **DR. FORRESTER:** I know it's difficult to have
25 these meetings over the phone because you can't see

1 what we're showing or visually look at data. Maybe
2 we could, and this is just a suggestion, and of
3 course that's up to the whole CAP, is maybe use a
4 couple of hours of the CAP meeting as a working
5 meeting and others at issue about wanting things
6 transcribed, that we talked about, and this is some
7 opportunity or we could do what we did before with
8 the water modeling.

9 **MR. ENSMINGER:** Provide us your drafts and
10 provide us the documents that you said you got,
11 because I didn't know you had them.

12 **DR. FORRESTER:** Well, we'll talk about that in
13 vapor intrusion. Right now I will still have to get
14 permission from the military to share them and that
15 would be something we'd have to work through.

16 **MR. ENSMINGER:** Why? They should be part of
17 the administrative record.

18 **MR. GILLIG:** When they provided the documents
19 they asked that we keep them close to the vest, that
20 we not share them.

21 **MR. ENSMINGER:** What's that tell you? I know
22 what it tells me.

23 **DR. FORRESTER:** Let us talk to you about how
24 we're evaluating them and we will work with the
25 military to see if we can get that issue taken care

1 of as well.

2 **MR. ENSMINGER:** I mean, they tried to do the
3 same thing with Morris and his team, and it got
4 overturned, and we were provided the documents.
5 Without the documents, you know, we can't really
6 help you as much as, you know, we could if we had
7 them.

8 **DR. FORRESTER:** Okay. Well, we will put that
9 as an action item and we'll ask Glenn to help us
10 work on that issue. We want to fully disclose what
11 we can -- are allowed to do. So I think that other
12 action item is how we're going to do this informal
13 working on the project. Right now the document is
14 in our divisional clearance, so all the people that
15 need to review it in the division, to make sure that
16 we did our evaluation according to our practices,
17 are looking at it. So it should be at least another
18 month before we finish that. And then we'll get
19 with you all to work out the strategy.

20 **MR. ENSMINGER:** And, you know, it's like I said
21 before, if you don't have the historical
22 documentation to go back to 19 -- well, let's say
23 back to 1972, okay? That was when Well 651 came
24 online, which was the worst contaminated well. But
25 beyond that, you know, I don't know when these

1 massive fuel plumes -- I am -- I suspect that their
2 fuel leaks began shortly after they opened the fuel
3 farm, because the way it was constructed, the piping
4 that interconnected all those tanks was put in
5 trenches. They laid the tanks partially down in the
6 ground. And you know what happens at a construction
7 site when you disturb the earth, and then you put
8 something in there and then you fill it with 10- or
9 20,000 gallons of fuel. And then they put the
10 piping, the interconnecting piping, in a trench and
11 covered it with dirt. Well, I would say, when it
12 rained the first time -- and these pipes were rigid,
13 they weren't flex hoses that connected the pipe line
14 to the tanks. They were rigid pipes going into the
15 tanks. The first time it rained the tanks settled,
16 which put a stress on those pipes, and they cracked.
17 And my estimation is that their fuel leaks began the
18 first rain fall after they constructed this fuel
19 farm in 1941 or -2. So you have the capabilities,
20 your exposure dose reconstruction laboratory team.
21 Use them. Let's get them to work. Let's get these
22 models started now.

23 **DR. FORRESTER:** Well, I would like to address
24 that in the vapor intrusion. We have been working
25 with Morris's team, because again, we got a huge

1 data dump like Morris got on the water dose
2 reconstruction, and we needed advice and guidance on
3 how to wade through all that to get the actual data.
4 The data did not come to us presorted in nice tables
5 and charts; it came in PDFs, which, you know, you
6 don't just run a key word search. We had to buy a
7 particular program that would do word searches on
8 PDFs to even get to the relevant data.

9 And let me just finish up a couple points on
10 this and we'll talk about that issue. The other
11 concern was the length of time that civilian workers
12 worked on the base, and we increased that number to
13 15 years. And hopefully that's a reasonable
14 assumption; we can talk about that as well. And
15 then, you know, one of your overriding concerns was
16 to make sure we're using the correct cancer slope
17 factor for TCE, which we have done. And we can show
18 you our cancer slope factors for all contaminants
19 and comparison values also, so we are on the same
20 page.

21 I think the only thing that will be a little
22 difficult is how to assess some of these exposures,
23 and this is probably something Morris can help us
24 with. There are models to show how, like from
25 steaming and ironing and how you measure the

1 inhalation exposure, how you quantitate that would
2 be really difficult, sort of like a shower model,
3 but we can get some feedback on that.

4 **MR. ENSMINGER:** Yeah, that was a continue -- I
5 mean, people worked in the laundry, that was a --
6 and in the mess hall, that was a continuous exposure
7 all day long. It's like -- it would be like taking
8 a shower all day. So you'd be getting two to three
9 times more, like you say, from a shower, only this
10 is continuous, all day long, five days a week.
11 Well, in the mess halls it would be six days a week,
12 because they work one weekend and want one weekend
13 off.

14 **DR. FORRESTER:** Were they civilian workers or
15 were they military?

16 **MR. ENSMINGER:** No, these were military. I
17 mean, that was before we had contracts for -- you
18 know, the civilians run the mess halls now but prior
19 to that it was all military. And, you know, the
20 gophers, the people that cleaned and served on the
21 lines and worked in the back washing dishes and pots
22 and pans and all that, they weren't the cooks; they
23 were mess duty people. You get 30 days mess duty
24 every year.

25 **DR. FORRESTER:** So were they --

1 **MR. ENSMINGER:** It was great fun.

2 **DR. FORRESTER:** -- similar in cycle, like
3 three-year periods of the deployment there?

4 **MR. ENSMINGER:** What's that?

5 **DR. FORRESTER:** How long were they there doing
6 those jobs? Was it like the other military, three
7 years --

8 **MR. ENSMINGER:** Well, your mess men were
9 provided to the mess hall from the units that
10 utilized that mess hall. And they had 30 days a
11 year of mess duty. But your cooks were permanently
12 assigned there, and bakers. And they served the --
13 a tour at a unit just like we did. You might be
14 there two, three years on average, and then you've
15 got orders to go overseas or go on a deployment.
16 Where, you know, you cooked aboard ship and helped
17 with the Navy people, when you had embark Marines
18 onboard ship. And then when we were off ship,
19 making landings and doing training with other
20 countries or whatever, when we were on shore, they
21 set up field messes.

22 **DR. FORRESTER:** All right, well, these are some
23 things we need to clarify before the document goes
24 to public comment, so we'll work out a procedure to
25 get this interaction going.

1 **MS. FRESHWATER:** Can I ask a question? The
2 swimming pools, a lot of people are curious about
3 that, and I'm not sure how, you know, chlorine
4 reacts when these chemicals were in the water. But
5 I spent three or four summers in a swimming pool at
6 the officers' club every day, in my nose, mouth and
7 everything else along with all my friends, and it's
8 something I've been very curious about as far as
9 exposure in the, as I said, the chemicals in the
10 pool and how that would react to the chemicals.

11 **MR. ENSMINGER:** And by the way, on Hadnot
12 Point, they had indoor training pools, Olympic sized
13 swimming pools inside. They still have them. Now,
14 you want to talk about a massive body of water in an
15 enclosed structure and people in there floundering
16 around. They had towers there where, you know, you
17 simulated the, you know, evacuating the ship. And
18 then you had to go off in full uniform, boots and
19 pack, and rifle and, we didn't use our real rifles,
20 we used mock-ups. And you had to jump off the
21 tower, feet first, like this, protecting your groin
22 and your chin. So if you hit any debris when you
23 entered the water, you would protect those areas.
24 And then you had to swim and you had to swim so many
25 laps around the deep end of the pool, and then get

1 out. So those indoor training pools were gas
2 chambers as well.

3 **MS. FRESHWATER:** Yeah, and like I'm sure has
4 happened to you all these years. I keep having
5 these haunting memories, like oh, I used to go and
6 play in the sprinklers in the golf course all the
7 time. My friends and I would just go play in the
8 sprinklers, and so I was in water, you know, all of
9 the hot months, all the time. That's all we did.

10 **MR. PARTAIN:** And keep in mind, this is a
11 coastal, almost tropical area, eastern North
12 Carolina. It's hot -- sub-tropical. It's hot.
13 You're exercising and working out there, and one of
14 the rules of being out in the sun, in the heat,
15 drink a lot of water.

16 **MR. ENSMINGER:** They used to give us salt
17 tablets.

18 **MR. PARTAIN:** One thing I want to -- two things
19 have been said today that just concern me here about
20 the documentation. First bringing up the 40,000
21 documents, and the second, the military putting the
22 hold on it. It boils down to communications and the
23 lack thereof. Question: When did the military turn
24 over these documents and then tell you you could not
25 share this, keep them close to the hold, like you

1 said. When did that happen?

2 **MR. GILLIG:** We made a formal request, I
3 believe it was last June, a written request, that --
4 I'd have to look and see exactly when that was,
5 Mike. But we've been getting documents in -- as we
6 started this process, we were receiving documents.
7 Their requests that we not share them, I'd have to
8 track that down. I don't know exactly when they
9 made that statement. But again, this has been an
10 ongoing process for a couple years, as far as us
11 getting documents, and we still are getting
12 additional documents from them.

13 **MR. ENSMINGER:** Well, let me make another point
14 to you. A lot of the -- most of the buildings that
15 were located above these big plumes, like building
16 903, which used to be engineer and ordinance
17 maintenance; building 1601, which was mote and
18 transport maintenance; the 1100 buildings, which
19 used to house the sask (ph), which was supply, the
20 computers, stuff like that, to track all the stuff
21 that was being ordered, all those buildings have
22 been vacated, many of them in the 80s, late 80s.
23 'Cause I was in maintenance battalion. Maintenance
24 battalion had ordinance maintenance and engineer
25 maintenance up in the building 901 and 903.

1 Building 1601 was motor transport maintenance.
2 That's been vacated. That was vacated in the late
3 80s when they built a new complex over toward French
4 Creek, and all these, all these air quality
5 samplings that they took were after those buildings
6 were no longer in use by, you know, full-time
7 people; they've turned them into warehouses or
8 whatnot. So, you know, they were a day late and a
9 dollar short with their ambient air quality
10 sampling.

11 So that's why it's important that we, if we
12 have to, reconstruct, because, you know, there
13 were -- good God, I mean, the shallow vapor readings
14 around buildings, like the base motors building,
15 what was it, like the 12, 1201, I think it was.
16 What was it, 1202, base motors? They did the
17 shallow vapor slow readings around that building and
18 they were like 12,000-and-some parts per billion of
19 VOCs coming up.

20 **DR. FORRESTER:** Well, we want to talk about a
21 kind of strategy for going through identifying
22 buildings that were areas of risk on the base, so we
23 know which ones to look at when we track. And
24 Morris's dose reconstruction helped some but also
25 real data helps a lot.

1 **MR. ENSMINGER:** Oh, sure. I mean, but, you
2 know, when they claim that they don't have it, then
3 you have to go to the other alternative, which is --

4 **DR. FORRESTER:** But the good thing is, we have
5 the documents and we are the ones that are searching
6 them. They're not searching it for the information
7 we need and we will find information they don't know
8 they even have.

9 **MR. ENSMINGER:** Well, if you provide us with
10 these documents, we'll find it if it's in there.

11 **MR. PARTAIN:** And going back -- I want to touch
12 on what I was talking about here, 'cause this, this
13 is really bothering me. This issue about the
14 documents and the Marine Corps and the Navy coming
15 back and saying you can't share them. It is --
16 we've hammered it over and over again. This is a
17 CERCLA-designated site. Any documents that pertain
18 to that are public records, supposed to be for the
19 administrative record.

20 There was a data mining operation done about
21 two years ago, and, you know, it just -- going back
22 to what Dr. Ikeda said at the beginning of the
23 meeting about a CAP reboot here, and this is a case
24 in point. You know, you guys are operating with the
25 public trust. We trust that you are doing -- being

1 diligent. In the past we have found that that was
2 not the case, not you personally but this agency,
3 whether it be by design or just by missing stuff or
4 incompetence, I don't know.

5 Part of the reason we were effective and became
6 effective as a CAP is because we have access to the
7 documents. We went through them. We educated
8 ourselves and we became involved constructively. We
9 weren't just obstructing things and throwing
10 willy-nilly things out there for people to talk
11 about. We brought up everything and every concern
12 with a document to back us. Now we're blind with
13 this vapor intrusion issue other than what we've
14 already found and brought to you guys' attention
15 first, 'cause it was the CAP that really brought
16 this issue to the forefront.

17 Now, you made a statement earlier, at the
18 beginning of this meeting, that, you know, the
19 relationship between the CAP and you was part of the
20 reason why you didn't tell us about the 40,000
21 documents. You said something to the fact that the
22 relationship really wasn't -- the communications
23 wasn't there. You know, the -- in September of
24 2013, the last CAP meeting we had before this one,
25 Dr. Ragin-Wilson said, Jerry Ensminger and Mike

1 Partain requested an index of the documents that are
2 being used to assess the vapor intrusion; that was
3 directed to you, Dr. Forrester. Your response was,
4 we will discuss those in the soil vapor discussion
5 today. We don't have the complete list yet. We
6 have just received many of the documents which we're
7 currently going through and identifying what we
8 have. At no point did you tell us you had 40,000
9 documents.

10 **DR. FORRESTER:** I didn't know at that point,
11 sir, I'm sorry. We've been receiving them since we
12 have been engaging in the process.

13 **MR. PARTAIN:** Well, previously to that, I had
14 requested in the CAP meeting beforehand, an index,
15 something. And now that was September where
16 Dr. Ragin followed up, that was on the CAP follow-up
17 part. And, you know, we've gone now from September
18 to now April, and we've heard nothing from you. I
19 mean, today -- this was a shock, to me and Jerry,
20 that you're sitting in possession of 40,000
21 documents. Are they part of the CERCLA documents,
22 CLW documents, Navy UST, are they redacted? I mean,
23 you know, I'd like to know what's there. And you
24 know, if you really want the community's input and,
25 you know, the expertise that we can bring to help

1 you guys do what you're doing, we need access to
2 these documents. And this has been the theme since
3 I have been on the CAP for about seven years, about
4 getting information. And, you know -- and I'm sorry
5 if you think that some of our questions are hard or
6 harsh.

7 I'm a professional myself. I work in an
8 environment where I deal with people who have had
9 their houses burn down, lost all their family
10 memories, all their possessions and in some cases
11 lost their family members. I've had people scream
12 and yell at me, crying at me, and you can name it,
13 I've had it, had to go through it. And because I
14 was a professional, I conducted myself in that
15 manner and did what was best for them while
16 maintaining my company's directions and the limits
17 of the policy.

18 I understand that we get emotionally charged at
19 times, because, you know, I'm a cancer survivor
20 going on seven years this month and I've said many
21 times before, I did not know that I was exposed. I
22 had no idea. And we deal with people like Jerry
23 Thompkins, who worked on Hadnot Point, on top of the
24 vapor -- I mean, I'm sorry, this fuel plume, that
25 breathed these vapors, and now is dead from multiple

1 myeloma. We deal with these families on a daily
2 basis; we get these emails; we get people asking who
3 have not found out.

4 On a flight to Washington in February, the guy
5 sitting next to me was born at Camp Lejeune, and
6 asked me why I was in a suit, and I told him what I
7 was doing. And he turned white, and he goes, I was
8 born there in 1980. He knew nothing about it. The
9 lady two rows behind me overheard me talking to him
10 and stopped me in the terminal and said my mother
11 died of cancer; we were at Camp Lejeune. That's
12 what Jerry and I go through on a daily basis.

13 Now, when we ask for participation, it's
14 communication. You guys, when you get that
15 objection from Marine Corps, which was in June,
16 after my request for the index, why weren't we told?
17 Why weren't we say, hey, we've got this problem.
18 That was communicated to us when Morris and Frank
19 ran into that problem, and we got Congress involved.
20 That's part of the reason why the judiciary group --
21 committee subpoenaed all the stuff from the Marine
22 Corps. If they want to play that game, we need to
23 know. If we don't know and we find out nine months
24 later, well, that's nine months down the road that
25 we're having to react to something.

1 Now, you guys have been working on -- when did
2 you begin work on the public health assessment
3 redoing it? 'Cause it was redacted in 2009. Here
4 we are 2014, five years later, and we're now
5 finally, today, having a meaningful conversation
6 about what you guys are doing, and we're finding
7 out, oh, you've got 40,000 documents. I asked for
8 an index last year. I think it was the last CAP
9 meeting in May. I don't have an index. I don't
10 even have an explanation or the courtesy of an
11 answer of if you can't have one or not.

12 Now, if you guys want us to be involved, to be
13 a participant, then treat us respectfully. You guys
14 in the past have gone to the Marine Corps, gone to
15 the Department of the Navy, gotten their input, sat
16 on their base, interacted with those people. We're
17 here -- we're here now. We have proven our worth
18 time and time again, and at every opportunity we are
19 discarded. I am tired of that.

20 **MR. ENSMINGER:** These documents that you got, I
21 have some specific, pointed questions about these
22 documents. Are any of them redacted? Is there
23 anything redacted on any of them?

24 **MR. GILLIG:** Jerry, I'm not sure. I have not
25 heard from the folks going through the documents,

1 that they are redacted, but I can't answer that
2 question. I'd have to go back to the folks
3 reviewing the documents.

4 **MR. ENSMINGER:** I would appreciate an answer on
5 that after lunch, if you could get up with these
6 people.

7 **DR. FORRESTER:** If you will look at the next
8 line on the vapor intrusion, that lists the sources,
9 these may be things that you have looked at before,
10 and this is the data sources from which we got the
11 data from.

12 **MR. ENSMINGER:** Where is that?

13 **DR. FORRESTER:** On the -- it's the last line.

14 **MR. STALLARD:** So Tina, I propose that we have
15 a separate working meeting on this topic. I didn't
16 hear any type of --

17 **MR. ENSMINGER:** Well, yeah, I mean, we could do
18 that -- we could -- if we could come down here the
19 day -- get here the day before.

20 **MR. STALLARD:** Yeah.

21 **MR. ENSMINGER:** Like we did that one time with
22 the water model. That would -- I mean, and hey, a
23 two- or three-hour afternoon meeting on the day
24 before the regular CAP meeting, that'd work.

25 **MR. STALLARD:** Yeah. I think in order to

1 continue to advance our collective efforts on that,
2 I've heard a few outstanding requests that we need
3 to get back. You've asked for some specific things,
4 and one was after lunch, if they are or not
5 redacted. The other is the request from the
6 military (indiscernible). And then looking at the
7 feasibility of whether they're covered under the
8 CERCLA law and under that authority, can be shared.
9 So I think that let's bring this to a close right
10 now and agree that we're going to meet.

11 **MR. ENSMINGER:** I have one more question.

12 **MR. STALLARD:** Okay.

13 **MR. ENSMINGER:** And it's one more action item
14 for somebody, and Mike brought this up earlier. We
15 have a data mining group that supposedly got all of
16 the documents pertaining to the water and the
17 contamination. Why weren't these documents included
18 in that data mining set?

19 **DR. FORRESTER:** I think Morris can answer
20 better. It's a different scope of request. We're
21 looking for indoor air, ground water monitoring
22 data, sub-slab data, some different things. Morris,
23 do you want to address this?

24 **MR. MASLIA:** I was, along with some other
25 people, a participant in the data mining group, and

1 we were asked as to what parameters, what data we
2 needed. And at that point there was not an overall
3 repository, one unified repository on base or
4 otherwise that the Navy/Marine Corps could give us.
5 So at that point we developed, and it's in the
6 Chapter A report, one of the appendix is a long
7 table, different types of data related specifically
8 to ground water flow, contaminant fate and transport
9 and water supply well pumping. And that was the
10 purpose of that data mining effort.

11 If in fact there were documents in there that
12 contained vapor intrusion information or whatever,
13 at that point in time, it was not seen as pertinent
14 to the childhood birth defects and cancer study.
15 I'm not saying -- I don't want to be misinterpreted.
16 I'm not saying the data would not be pertinent but
17 for our objectives, as described in the protocol in
18 the Office of Management budget, we filtered or
19 requested data specifically pertinent to, and we
20 provided both the Navy/Marine Corps and people on
21 the data mining committee specific modeling
22 parameters for water resources, ground water flow,
23 fate and transport model that we needed to complete
24 the historical reconstruction of water supply
25 modeling and associated contamination.

1 So there may be, in that list -- and the type
2 of documents, the type of data that we look at, I'll
3 have to look, I believe it's table 1 or table 2 in
4 the appendix of the summary of Chapter A for Hadnot
5 Point. It's about a 10-page table. Lists the type
6 of documents. There may be some vapor information
7 there. Because it would also give the years, okay?
8 'Cause some of them go 40s and so on. I remember
9 that specific discussion is -- we got into at one of
10 the meetings, is the Marine Corps wanted to know the
11 duration of the information that we needed. And
12 that column is in that table, I can look at it at
13 the break.

14 **MR. STALLARD:** So Morris, that table is
15 something that the working group on the vapor
16 intrusion may want to --

17 **MR. MASLIA:** It's available to anybody. It's
18 public information now, obviously, but that was part
19 of the effort and the Chapter A report. The point
20 I'm making is we -- and I'll call it filtering,
21 okay? We selected or filtered parameters and data
22 based on the objectives of the childhood birth
23 defects and cancers study --

24 **DR. BOVE:** And the mortality study.

25 **MR. MASLIA:** And the mortality study.

1 **MR. ENSMINGER:** Vapor intrusion would have been
2 very pertinent in the mortality study.

3 **DR. BOVE:** We actually did get industrial
4 hygiene documents, which I gave --

5 **DR. FORRESTER:** We have --

6 **DR. BOVE:** Yeah.

7 **DR. FORRESTER:** We have reviewed the industrial
8 hygiene documents --

9 **DR. BOVE:** Right.

10 **DR. FORRESTER:** -- for the base.

11 **DR. BOVE:** Right.

12 **DR. FORRESTER:** Yes.

13 **DR. BOVE:** But we got some of this through the
14 department.

15 **DR. FORRESTER:** I think we should also proffer
16 what Morris used with what we're using too.

17 **MR. STALLARD:** So did that answer your
18 question?

19 **MR. ENSMINGER:** Partially. And then Morris --
20 don't go away.

21 **MR. MASLIA:** Yes. I'm still here.

22 **MR. STALLARD:** He's gotta find out if it's
23 table 1 or 2.

24 **MR. MASLIA:** No, I just want to see what table
25 number it is so we're all on the same page.

1 **MR. ENSMINGER:** With your models -- you still
2 got your models, I take it, your computer models?

3 **MR. MASLIA:** We have access to the ones that we
4 developed. We do not have access to the ones that
5 our university cooperative partner developed.

6 **MR. ENSMINGER:** But Professor Aral --

7 **MR. MASLIA:** That's correct.

8 **MR. ENSMINGER:** -- at Georgia Tech. I
9 think that's right.

10 **MR. MASLIA:** That one we have neither the code
11 nor the computational equipment to run it here.
12 That would be appendix A-2 in the Hadnot Point,
13 Holcomb Boulevard summary of findings report,
14 Chapter A.

15 **MR. STALLARD:** Okay. Noted for the record.

16 **MR. MASLIA:** What?

17 **MR. STALLARD:** Noted. Noted for the record.
18 So is there anything else on this subject?

19 **MR. PARTAIN:** I'll repeat my request earlier.
20 I'd like to get a complete index of all documents
21 and document archivets that was part of the data
22 mining group. I have on numerous calls I brought
23 that up. You know, is this something -- are there
24 any other archives out there? What exists? The
25 purpose of the data mining group is to identify the

1 body of knowledge that's out there in the form of
2 documentations. And I would like to get an index of
3 the documents that were turned over to the -- by the
4 Marine Corps to you guys, and see what's there. I
5 mean, if need be we'll get Congress involved again.

6 **MR. FLOHR:** I have one question. All the
7 elements on this last slide are written like in the
8 past tense. They reviewed the documents, they
9 searched the files, they did data extraction
10 manually and in the spreadsheets and resulting
11 analysis have been summarized. Is this all past
12 tense? Has this all been done?

13 **DR. FORRESTER:** No, it's not all done. We
14 pretty much to the point we had 4500 documents that
15 we each have to go word search and manually hand
16 search through to retrieve the data that's
17 pertinent. Any one of those documents can have
18 between 600 and 1400 pages that we have to look at
19 based on our search for 40, up to 40 key word items
20 related to vapor intrusion. So we are not done.

21 **MR. FLOHR:** Okay. I just wanted to clarify
22 that.

23 **DR. FORRESTER:** And just to make clear, this
24 whole vapor intrusion will take a considerable time
25 to finish, because just like the water modeling,

1 getting the data set together is going to take us a
2 while. And with the resources I've used in the
3 division, we can't complete it quickly. We've put
4 in another contract to get more people to pull the
5 data. It's just a base job.

6 **MR. ENSMINGER:** Well, and you look at the job
7 we had. There was three of us, Mike, Jim Fontella
8 and myself, going through all the CERCLA files and
9 all the CLW files. And we still found stuff that
10 ATSDR hadn't discovered. It was pertinent,
11 extremely pertinent. So this inventory you have,
12 does it have a document number? Are they assigned
13 numbers, these documents? Do they have the title?

14 **MR. GILLIG:** What we have received is a -- we
15 have memos, we have letters, we have documents.
16 It's -- there's a variety of --

17 **MR. ENSMINGER:** But are they assigned a number?
18 Have they assigned them a number? Do they have a
19 CERCLA number on them?

20 **MR. GILLIG:** I'm sure that some of them do but
21 I couldn't tell --

22 **MR. ENSMINGER:** Does your inventory have a
23 title of what that document is?

24 **MR. GILLIG:** We are taking -- we're
25 inventorying as we go through documents, we're

1 writing down the titles, we're indicating which
2 documents have pertinent data. So all of that is
3 being put into spreadsheets.

4 **MR. ENSMINGER:** Did they provide this to you
5 electronically?

6 **MR. GILLIG:** We have some that was provided
7 electronically, some that we got hard copy. So it's
8 a mix.

9 **MR. STALLARD:** So, we have an issue about how
10 we're going to move forward with this and be able to
11 collectively engage in the process, as we were able
12 to with the water modeling study.

13 **MR. PARTAIN:** Just add a little sunshine; keep
14 it all going.

15 **MR. STALLARD:** If you recall, we did have as
16 our operating guideline, early on, transparency.
17 And Morris, thank you for clarifying access to that
18 additional information.

19 So do we have time to -- we're about 15 minutes
20 behind schedule, and right now we have the cancer
21 incidence update. I think we can get to that before
22 lunch?

23 **MR. PARTAIN:** Can I ask something on the public
24 health assessment? Tina, are you guys, if I heard
25 you right, you're looking at the public health

1 assessment's going to address past exposures,
2 current possible exposures and future? 'Cause I
3 know that part of the public health assessment to
4 discuss what's going to happen in the future.

5 **DR. FORRESTER:** We're going to do -- we routine
6 look at past, current and future. On the vapor
7 intrusion, past might be difficult if we don't have
8 the data to make the analysis, so we need to have a
9 discussion about how most effectively to do that.
10 But the bottom line is, vapor intrusion did not
11 become a pathway characterization to anybody, and if
12 you look back at EPA's beginning of investigating
13 vapor intrusion sites was around 1999 to 2001. So
14 data that really characterized the pathway was not
15 routinely collected by anyone.

16 **MR. PARTAIN:** Well, the same problem exists
17 with the water quality, because the data begins
18 sporadically in 1980, and even then, when we get to
19 1985, it's sporadic. But the, you know, we have
20 available to you the water model. And of course,
21 you know, the same problems exist with the water
22 quality. But again, you know, we've established
23 that contamination goes back to 1953.

24 **DR. FORRESTER:** And that goes along with the
25 strategy of how to develop the areas of concern when

1 they were of concern by looking at some maps and
2 skill logs and all these other things. But we can't
3 assume every building on the whole base was affected
4 at the same time or the same degree, and we already
5 know that, and that's part of the strategy, to
6 figure out where to go and where to look and when to
7 look. And I did have a conversation with Morris and
8 the team did about modeling modeled results, and
9 there's a lot of variability and uncertainty. We
10 hope to find scraps of real data that you can use
11 with model data to make it more certain. But again,
12 modeling modeling results is not always the most
13 effective way to get an answer, and that might be
14 what it turns out to be. But we're willing to look
15 through it and figure the path forward.

16 **MR. PARTAIN:** One of the reasons I'm asking
17 about the current exposure is because recently Jerry
18 and I were contacted by a family that it appears
19 that their -- storage tank for the house?

20 **MR. ENSMINGER:** Yeah.

21 **MR. PARTAIN:** There may be vapor intrusion
22 issues in the family housing areas that could be
23 ongoing today from leaking tanks in the past.

24 **DR. FORRESTER:** Well, our first concern is to
25 make sure that the ones that were identified have

1 been mitigated, and we're also looking at the
2 mitigation data to make sure what they did was
3 effective. And second of all, to make sure there
4 are no ongoing or current exposures that need to be
5 mitigated. And then of course the past is important
6 too but those two issues, the ones where people
7 still could be exposed, need to be addressed first.

8 **MR. ENSMINGER:** This guy's a retired warrant
9 officer, and he was a supply type, logistics. He
10 retired out of the Marine Corps up in Virginia and
11 got a job with a defense logistics agency.

12 He had to go down to Camp Lejeune for a
13 meeting. So while he was down there, he had some
14 spare time so he was taking a little trip back
15 memory lane and went over to the housing area where
16 he and his family lived when he was first selected
17 as a warrant officer, drove down the street, and his
18 house was gone. There was an orange fence around a
19 big hole in the ground where their home had been.
20 And it had signs on the orange fence: Contamination
21 site. Keep out. And they had two sons that were
22 born while they lived there, and both of them
23 have -- one of them had -- I think he's had
24 somewhere close to ten surgeries for his heart.

25 **MR. PARTAIN:** So I mean, that's the concern, if

1 these exposures are continuing, 'cause we know they
2 went into the early 2000s, to make sure that the
3 Marines and the families that are there now aren't
4 having to fight our fight 10, 15, 20 years down the
5 road.

6 **DR. FORRESTER:** And we agree with you, and
7 that's part of our strategy.

8 **MR. STALLARD:** All right. So we will have the
9 working group.

10 **MS. FRESHWATER:** Can I ask one quick question?
11 It's very --

12 **MR. STALLARD:** Actually not, because we're
13 not --

14 **MS. FRESHWATER:** Two sentences.

15 **MR. STALLARD:** Two sentences? I mean, I would
16 love to hear your voice but if it's going to lead us
17 down another path.

18 **MS. FRESHWATER:** I don't think it will or I
19 wouldn't ask it.

20 **MR. STALLARD:** Okay.

21 **MS. FRESHWATER:** The Marine Corps -- when the
22 Marine Corps said keep it close to their vest, did
23 they cite security? What did they cite for a reason
24 to keep those documents close to the vest?

25 **MR. GILLIG:** I don't have the details on that

1 and I'm not sure it was conveyed to us.

2 **MR. STALLARD:** Well, we'll find out. Okay, so,
3 none. Come on.

4 **MR. ENSMINGER:** It was security. It was their
5 security.

6 **MR. STALLARD:** Okay. Thank you. That was very
7 helpful and informative. Let's move on to Frank and
8 Robin's --

9 **MR. PARTAIN:** Well, one thing, the slides that
10 we have been shown today from the cancer study and
11 things like that and the VA, can we get copies of
12 those presentations, please?

13 **MR. STALLARD:** I'm sure.

14

15 **CANCER INCIDENCE STUDY UPDATE**

16 **DR. IKEDA:** So as I mentioned earlier, we're
17 committed to moving forward on the cancer incidence
18 study. And our first step is to convene an expert
19 panel to help us answer those questions that still
20 remain exactly how we can do the study. Yes, the --
21 you know, and people keep asking, well, what
22 questions are still out there? I think there's
23 still some questions about what's the best design
24 for a cancer incidence study, what outcomes are of
25 interest, meaning what cancers do we choose. Is it

1 all cancers or is there a subgroup that would be
2 most important? We had some discussion earlier with
3 Dr. Espey about, you know, what states could be
4 included. And then of course most importantly,
5 perhaps we would be able to answer the questions
6 that we really think are important and of interest.

7 Given -- and Frank, I don't know if you want to
8 jump in with other questions that still remain, but
9 given the discussion that we just had about
10 communication, one of the things in terms of
11 convening a panel is identifying the members. And
12 we've been talking internally that we are assuming
13 that Dr. Cantor and Dr. Clapp would serve as the
14 CAP's representatives on any expert panels, but
15 we're open to discussion on that. Also we would
16 like to hear your perspective about how you would
17 like to keep informed about the processes moving
18 forward, again, whether that's through a technical
19 monitor or some other process. We'd be happy to
20 hear any -- your thoughts and comments about that.

21 **MR. ENSMINGER:** Well, I would also like to
22 propose, when this does move forward, that we use
23 this opportunity under this study to revisit the
24 mortality statistics, because I mean, those -- the
25 cutoff for the mortality study was 2008. It's now

1 2014. By the time we start -- actually started with
2 the cancer incidence -- I mean, they just updated
3 the National Death Index. It was just completely
4 updated, I think, the last month.

5 **DR. IKEDA:** So you're talking about the
6 follow-up.

7 **MR. ENSMINGER:** Yeah, revisit it, you know.

8 **DR. BOVE:** We've been talking about possible
9 approaches, and in most of the approaches we talked
10 about, in fact all of them, we do want to find out
11 the vital status of people as of -- when we started
12 with it. So if you're finding out the vital status,
13 it's very easy to then send that information to NDI,
14 cause of death. So yeah, it's very possible to
15 continue to follow them. But again, this would be a
16 topic for the expert panel to discuss along with the
17 best approach to working with the cancer registries,
18 whether we go for all 50 states, whether we go for a
19 large percentage of the population, such as the VA,
20 with 86 percent. Whatever -- there are a couple of
21 different ideas about how to approach cancer
22 registries that are willing to supply the personal
23 identifiers or those that, by state law or some
24 other reason, do not, and we may have to use a
25 multiple strategy approach. I've been discussing

1 this with Ken and Dick, and these are issues that an
2 expert panel would address.

3 We do have -- the mortality study we identified
4 cancers we called the primary interest based on the
5 literature. So that for example, kidney cancer,
6 non-Hodgkin's lymphoma and liver cancer we could
7 identify (unintelligible). There were bladder
8 cancer and esophageal cancer, for PCE for example,
9 leukemias, because of benzene for sure,
10 (unintelligible) multiple myeloma and so on. So we
11 had a list of primary cancers of interest. And then
12 we had a secondary list where there was some
13 information in the literature, any information,
14 indicating there was an association of at least one
15 study for example. And there was a whole longer
16 list. But there were cancers that weren't included
17 because there are cancers that either there is no
18 information on solvents or the information is
19 negative, whatever. So we do have an idea, but
20 again, I would want an expert panel, again, to weigh
21 in on that. The EPA just published last week a --
22 their meta-analysis, which is part of their
23 (unintelligible) a review of cancers. They have
24 more or less evidence with PCE. So that would be
25 the most useful (unintelligible). So you know,

1 that's what I would want an expert panel for.

2 **MR. ENSMINGER:** Well, I mean, when you look at
3 the mortality study results, 48 percent of the
4 mortalities were caused by trauma.

5 **DR. BOVE:** Just to be specific, 12 percent were
6 suicide, 8 percent were homicide violence category,
7 some of which were probably due to the --

8 **MR. ENSMINGER:** Well, that was only a couple
9 hundred people.

10 **DR. BOVE:** It was about 200 and something.

11 **MR. ENSMINGER:** Yeah.

12 **DR. BOVE:** And then a large -- another large
13 percentage, I think it was close to 20, whatever I
14 said to you, was motor vehicle transportation --

15 **MR. ENSMINGER:** Transportation related.

16 **DR. BOVE:** So we have a large number of these
17 deaths. Now, remember only 5.8 percent of the
18 cohort had died by 2008. So and a large percentage
19 of them aren't due to these kinds of causes. One of
20 the reasons we're interested in cancer incidence is
21 because, you know, if you died -- if you got hit by
22 a truck, that's what you died of but you may have
23 had kidney cancer, you may have had leukemia or
24 whatever, but (unintelligible). And that's the
25 limitation of the mortality study.

1 **MR. ENSMINGER:** Well, and also the people --
2 also the people that were diagnosed with a cancer,
3 because of the improvements in treatment protocol,
4 these people aren't dying. So they're not going to
5 show up in a mortality study. So that's the
6 importance of the cancer incidence study. And Mike
7 and I, and everybody on this CAP, we deal with these
8 people on a daily basis. I mean, not a week goes by
9 that I don't get an email from somebody that's
10 diagnosed with kidney cancer, bladder cancer, liver
11 cancer, non-Hodgkin's lymphoma, leukemias, and all I
12 can tell them is that, you know, science is not
13 fast. I mean, science takes time, especially if
14 it's meaningful science, and you gotta be patient.
15 And so but I mean, that's a hard thing to sell to
16 somebody that's suffering from cancer.

17 **DR. BOVE:** Jerry, let me say one other thing.
18 For the health survey, we're confirming cancers
19 (unintelligible) according to the health survey.
20 And through that process, we work with 13
21 registries. But for the survey, we had to have, and
22 this is just general information, we had to have
23 each person sign a HIPAA form saying that it was
24 okay for me to approach their doctor or the cancer
25 registry.

1 What we want to do -- the cancer incidence
2 study we're talking about doing now, there would be
3 no contact with the people, okay? It would be
4 similar to the mortality study where we had personal
5 identifying information, Social Security Number,
6 date of birth, name, so on. And in the mortality
7 study we were able to send that to the national
8 repository that CDC runs called the National Death
9 Index. Okay? And get cause of death. There is no
10 such thing, as we were told earlier this morning,
11 this is no such thing for a cancer incidence.
12 There's no central place. You have to go to 50
13 states.

14 The issue when dealing with these cancer
15 registries will be we're not going to ask -- we're
16 not going to have contact with the individual, so
17 we're not going to be requesting HIPAA consent,
18 which would be impossible to do with this study. We
19 want to do a data linkage, so that's where the
20 personal identifying issue comes up and
21 confidentiality issues and whether each state has a
22 different rule and so on. So these are the kinds of
23 things that an expert panel will have to grapple
24 with, okay?

25 **MS. RUCKART:** Well, just for the mortality

1 study, these rules of privacy and protecting
2 personal information don't apply when you're
3 deceased, that's why it's very easy just to go to
4 the NDI and get the information when you have no
5 contact. That's not the case with living.

6 **MR. ENSMINGER:** Yeah, I mean, the health survey
7 was, what'd they have, a 27 or 28 percent
8 participation rate? I mean, it's not useless but I
9 mean, it's really lacking.

10 **MR. STALLARD:** Dr. Ikeda, did you have a
11 comment before we go to lunch?

12 **DR. IKEDA:** No. Just that, you know, we look
13 forward to working with all of you as we move
14 forward. Thank you.

15 **MR. PARTAIN:** Dr. Ikeda, this panel that you're
16 talking about, is it to -- I mean, is there a
17 commitment on ATSDR's part (unintelligible)?

18 **MR. STALLARD:** (Unintelligible).

19 **MR. PARTAIN:** Thank you. Trying to see is
20 whether it was a feasibility study or --

21 **MR. ENSMINGER:** No.

22 **MR. PARTAIN:** Okay.

23 **MR. STALLARD:** Good. That's a perfect segue
24 for Dr. Cantor --

25 **DR. CANTOR:** Yeah, a few comments. First of

1 all, I find this very encouraging and I look forward
2 to working with any expert panel that's set up, and
3 obviously it's going to be a lot more broader based
4 than just the two of us.

5 One thing, though, Frank, I think for some
6 maybe specific cancers, and this again is very
7 premature and would bear a lot of discussion in that
8 expert panel, that it might be very, very helpful to
9 be able to go back to cases to get personal
10 information, and specifically for genetic
11 information, for particular cancers, because we
12 know, for kidney cancer specifically and for TCE
13 specifically, there are polymorphisms that is --
14 that we all share, 30 or 40 percent of us have
15 certain genetic differences that metabolize TCE
16 differently, that put certain groups at higher risk
17 than other groups, and it would be very, very
18 important information to have. So this would be
19 maybe a subset of or a sub-study within the general
20 incidence study. But these ideas would, I think,
21 would be fleshed out in more detailed deliberations.

22 **MR. STALLARD:** Yes?

23 **DR. CLAPP:** Just wanted to add my comments to
24 what Dr. Cantor gives. I think it's a very
25 encouraging development. I commend the ATSDR for

1 making it clear that they want to move forward on
2 this cancer incidence study, and I look forward to
3 helping in the process.

4 **MR. ENSMINGER:** And I know that when you form
5 this expert panel and they meet, I know you guys
6 look at me like I'm a layperson or a dummy, but I
7 would like to attend the meeting.

8 **DR. IKEDA:** And I think we can certainly
9 consider that. Sorry, certainly consider that.

10 **MR. STALLARD:** All right, then. That brings us
11 to a close of the morning session. Thank you very
12 much for such a productive use of time. We have one
13 hour, and Perri has something to say.

14 **MS. RUCKART:** As you know, the cafeteria is in
15 106, so we need to have escorts. We need to escort
16 all the visitors over there to that building. And
17 maybe the escorts could raise their hands, so you'll
18 know. You have to go with them into 106 and they
19 have to come with you back to 107. So if you
20 just talk -- how about like a meeting place, when
21 we're going to meet in 106 to walk back over here to
22 107.

23 (Lunch break 11:50 a.m. till 1:00 p.m.)

24
25 **PRESENTATION AND DISCUSSION OF PUBLISHED HEALTH STUDIES**

1 **BIRTH DEFECTS AND CHILDHOOD CANCERS**

2 **MR. STALLARD:** Please have a seat. This is the
3 time after lunch when digestion starts to set in and
4 we close the blinds for your comfort. And we have
5 some exciting presentations for this afternoon. I'm
6 ready. Are you ready?

7 **MS. RUCKART:** Ready as ever. Well, welcome
8 back from lunch. Thank you for returning. While
9 you were out I passed out the published journal
10 articles that Frank and I will be discussing, so you
11 can just have that for your reference.

12 So I'm going to talk about the birth defects
13 study. It was published in December. And I just
14 want to say while we have this presentation, please
15 feel free to stop me along the way if you have
16 questions. That's fine with me.

17 So this slide just shows the formal publication
18 title, and we just really refer to this as the case
19 control study or the birth defects and childhood
20 cancer study. This provides some background on the
21 site. I'm sure most of you are very familiar and
22 aware of this, but the base began operations in
23 1941. There were ten base family housing areas and
24 three water distribution systems serving most of the
25 base housing. That would be Hadnot Point, which I

1 may refer to as HP, Tarawa Terrace, as TT, and
2 Holcomb Boulevard as HB. And during routine water
3 sampling in the 1980s, VOCs, volatile organic
4 compounds, were detected in some wells in the HP and
5 TT systems.

6 So about the HP system, it began operations in
7 1943 and was primarily contaminated with TCE. And
8 the sources were leaking underground storage tanks,
9 industrial area spills and waste disposal practices.
10 Vinyl chloride and PCE were also in the drinking
11 water, and that's because of degradation of TCE.
12 And PCE and benzene were present as well. The
13 maximum amount of TCE detected in the system was
14 1400 parts per billion in May 1982. HP served the
15 mainside barracks and the Hospital Point family
16 housing. And prior to June 1972 it also served
17 family housing at Midway Park, Paradise Point and
18 Berkeley Manor.

19 And the TT system began operations in 1952. It
20 was primarily contaminated with PCE, and this was
21 from the solvent waste disposal practices of an
22 off-site dry cleaner whose major supply well is
23 about -- and the major supply well for TT was about
24 900 feet from their septic tank. And the maximum
25 amount of PCE detected in the system was 215 parts

1 per billion in February of 1985. And TCE, DCE and
2 vinyl chloride were also present at TT due to a
3 degradation of PCE. TT served the Tarawa Terrace
4 family housing area and it partially served the Knox
5 trailer park.

6 And this slide describes the contamination at
7 HP and the TT drinking water supplies. Water from
8 both contaminated and uncontaminated wells were
9 mixed at the treatment plants before being delivered
10 to the residences. And there were more wells than
11 necessary so wells were rotated on and off, so the
12 contamination levels in the drinking water systems
13 vary depending on the wells being used at a
14 particular time. And most of the contaminated wells
15 in these two systems were shut down by
16 February 1985.

17 So there was a third system I mentioned, an HB
18 system, and it began operations in June 1972. And
19 it served the family housing at Midway Park,
20 Paradise Point and Berkeley Manor beginning in 1972,
21 June 1972, and Watkins Village, when it was
22 constructed in the late 1970s, and Tarawa Terrace
23 family housing after March 1987. As I mentioned
24 before, prior to June 1972, Midway Park, Paradise
25 Point and Berkeley Manor were served by HP.

1 So the HB system was generally not
2 contaminated. There were some situations when it
3 received water that was supplemented from HP. This
4 was during the dry spring and summer months. And
5 there's also a ten-day period in early 1985 when the
6 HB system was shut down for repairs. No organic
7 solvent contamination was detected in drinking water
8 from the other on-base treatment plants.

9 So a little bit about the health effects of
10 these chemicals. TCE, benzene and vinyl chloride
11 are classified as human carcinogens. PCE is
12 classified as a likely human carcinogen. And has
13 not -- DCE has not been classified in terms of
14 carcinogenicity.

15 Now, most of the studies on solvents and birth
16 defects and childhood cancers were done on female
17 workers. And most of these studies based the
18 exposures on job title and didn't evaluate specific
19 solvents; it just looked at category of solvent
20 exposure. And the results of these studies are
21 inconsistent. There are a limited number of studies
22 on the association between birth defects and
23 childhood cancers and maternal exposure to drinking
24 water, so residential exposure to drinking water
25 contaminated with these solvents. Studies in

1 northern New Jersey and Woburn, Massachusetts found
2 excess NTDs and clefts and leukemias.

3 So the purpose of our study was to determine if
4 maternal exposures to the contaminants in the
5 drinking water at Camp Lejeune increased the risk of
6 neural tube defects, NTDs, oral clefts and childhood
7 hematopoietic cancer. Now, we also looked at
8 whether exposures of children during their first
9 year of life to these contaminants had increased the
10 risk of childhood cancers.

11 So moving on to the methods. So birth
12 certificates, computerized birth certificates in
13 North Carolina did not become available until 1968,
14 and the contaminated wells on base were shut down in
15 1985, so we included live births occurring between
16 1968 to 1985 to mothers who resided on base at any
17 time during their pregnancy. And based on the
18 scientific literature, we initially focused on NTDs
19 consisting of spina bifida and anencephaly, oral
20 clefts, consisting of cleft lip and cleft palate,
21 conotruncal heart defects, choanal atresia and
22 childhood hematopoietic cancers consisting of
23 leukemia and non-Hodgkin's lymphoma, known as NHL.

24 And because there were no birth defects or
25 cancer registries covering this time period, we used

1 a multistep process to identify the cases. We used
2 birth certificate data to identify 12,493 children
3 born during 1968 to 1985 to mothers who lived at
4 Camp Lejeune at the time of delivery. So we know we
5 have some information that there's been estimated
6 about 4,000 births that would have occurred to
7 mothers who were on Lejeune during their pregnancy
8 but delivered elsewhere. So the way we got
9 information on those was through a media campaign
10 and referral process. And the media campaign was
11 run by the USMC, and the referral process consisted
12 of getting potential names of people who were on
13 base from previously identified people who were on
14 base, and then we cross-referenced that information
15 with military records to verify that those people
16 qualified.

17 Then we interviewed the parents of the cases,
18 that would be children with the birth defects and
19 childhood cancers, and parents of the controls, that
20 would be parents of children who did not have those
21 conditions. And I'm going to talk about each of
22 these steps in more detail now.

23 So from September 1999 through January 2002, we
24 conducted a telephone survey to identify the birth
25 defects and childhood cancers, and this was because,

1 as I mentioned, there were no birth defects or
2 cancer registries covering that time period, so we
3 had to have a way to identify these people. We
4 interviewed the parents of 12,598 children. And of
5 these, 10,044 came through the birth certificate
6 data and 2,554 births were identified through the
7 media campaign referral process. I'm just going to
8 call that the referral process. But we did not
9 obtain the birth certificates. So the participation
10 rate for the telephone survey was about 76 percent,
11 and that's using 16,500 as our estimation of the
12 number of births during this period.

13 So during the telephone survey, parents were
14 asked if their children had a birth defect or
15 developed a childhood cancer. And because we wanted
16 to make sure that we captured all the cases of birth
17 defects and childhood cancers, we were pretty
18 liberal in what we considered a birth defect that we
19 were going to follow up on. So no cases of choanal
20 atresia were reported, and the survey participants
21 reported less than one-third of the expected number
22 of conotruncal heart defects. So because of the
23 small number of those heart defects, we focused the
24 study on the NTDs, oral clefts and childhood
25 hematopoietic cancers that were diagnosed before age

1 20. So of those conditions I just mentioned, a
2 total of 106 cases were reported. That breaks down
3 as 35 NTDs, 42 oral clefts and 29 cancers.

4 And we really undertook extensive efforts to
5 confirm the self-reported cases, and we tried to
6 obtain birth, and in some cases, death certificates
7 and medical records. Now, keep in mind the parents
8 were interviewed in the late 90s and early 2000s
9 about conditions that happened from '68 to '85, so
10 it wasn't always possible to get medical records.
11 So for cases where we didn't have confirmation
12 through birth certificate or death certificate, and
13 there was spina bifida or oral cleft cases, we
14 offered to pay for a medical visit to a current
15 provider to see if they could confirm that
16 condition. So I'm just trying to explain to you
17 that we really went to great efforts to try to
18 confirm the cases using many different methods
19 there.

20 So we were able to confirm 15 neural tube
21 defects, 24 clefts and 13 cancers. We just were
22 unable to obtain any medical confirmation for six
23 reported cases. Seven cases turned out -- of the
24 reported cases turned out to be ineligible, eight
25 refused to provide medical records and 33 were

1 confirmed not to have the reported condition, for
2 example they had another facial deformity instead of
3 cleft lip, and that relates to what I said where we
4 cast this wide net. We really wanted to be
5 inclusive, get all the birth defects there were, so
6 if somebody said something that sounded like it
7 could fit the outcomes we were interested in, we did
8 follow up, and then sometimes it led to the point
9 where it was something different and we could say
10 they didn't have this or were classified with
11 something else.

12 So our primary analyses focused on the 52
13 confirmed cases. And we were able to interview the
14 parents of 51 cases and 526 controls. And the
15 control children were randomly selected from survey
16 participants who did not have a birth defect or
17 childhood cancer. And we wanted to -- we attempted
18 to enroll ten times as many cases as controls, and
19 we wanted to use one control group for all of the
20 cases. So what I mean by that is we compared all of
21 our cases of NTDs to all 526 controls. We compared
22 all the oral clefts to all 526 controls. And we
23 wanted to interview both the mother and father, if
24 they were available, and we asked information about
25 how much mothers -- how much water the mothers drank

1 and used, where they lived on base, that's of course
2 key, the pregnancy history such as did the mother
3 use prenatal vitamins or did they have a fever or
4 other illness during pregnancy, and parental risk
5 factors such as family history of diseases and
6 smoking and alcohol use. And if the mother was
7 unavailable, we administered a shortened version of
8 this questionnaire to the fathers that focused
9 mainly on the residential history and the paternal
10 risk factors.

11 So as you know -- as many of you know, there
12 were few drinking water samples available from the
13 1980s and they weren't enough to reliably estimate
14 the past levels of the drinking water contaminants.
15 So to do this we undertook a very extensive water
16 modeling process to reconstruct exposures, and
17 Morris's team did that up through 1987. And the
18 water modeling provided the monthly average
19 estimates of the levels in the drinking water
20 contamination at the residences.

21 So to assign the exposure to the mothers, we
22 used the residential information collected from the
23 interview, we cross-referenced it with the base
24 family housing records, to identify where and when
25 the mother lived on base, that's key, and then we

1 linked that to the information in the water modeling
2 results. And each month of the mother's pregnancy
3 and each month of the first year of a child's life
4 was linked to an estimated level of contamination or
5 it was assigned as unexposed.

6 So how did we analyze the data? We analyzed
7 the NTDs, oral clefts and childhood cancers
8 separately so we looked at three separate outcomes,
9 and we analyzed each VOC separately, using
10 categorical exposure variables, and I'm going to get
11 into that in a little bit more detail in a minute.

12 So for the NTDs and the oral clefts, we
13 evaluated the estimated average first trimester
14 exposures, and this is because the relevant windows
15 for the NTDs is the fourth week of gestation, and it
16 is during the sixth to ninth gestational week for
17 oral clefts, so this would correspond roughly to the
18 first trimester. And for childhood cancers, we
19 looked at each trimester separately, the entire
20 pregnancy as a whole and the first year of life,
21 because it's less clear when that relevant exposure
22 window may be.

23 And we also evaluated potential confounders.
24 Each risk factor, such as mother's age, race and
25 education level was evaluated to see if it was

1 associated with the outcomes in this study. So just
2 to give you an example, a risk factor would be
3 mothers younger than 20 years of age. And they have
4 a higher risk for NTDs so that was considered as a
5 risk factor. And then once we selected the risk
6 factors, we determined whether adding the risk
7 factor to the model changed the result for a
8 particular exposure and outcome, and if it did
9 change the result, compared to the model that didn't
10 have that risk factor in it, the risk factor was
11 considered a confounder and we kept it in the model.
12 But confounding only occurs when the potential risk
13 factor is associated with both the outcome and the
14 exposure.

15 So this slide describes what I mean by
16 categorical exposure variables, and we looked at
17 three different ways of categorizing the exposures,
18 and I'm using TCE as an example. So in all three of
19 these ways the unexposed group did not have
20 residential exposure to the contamination -- to the
21 contaminant under evaluation. So here a mother who
22 had no exposure to TCE would be placed in the
23 unexposed group; however, she could have had
24 exposure to PCE. And in one categorization, the
25 first one, we divided the exposed group into two

1 levels using the 50th percentile level among the
2 controls, meaning 50 percent of the controls had
3 exposures of 2 parts per billion in this example,
4 and 50 percent had exposure below this level. So
5 greater than zero, they had some exposure but less
6 than that 50th percentile.

7 And the second way that we divided the
8 categorization was above and below the EPA maximum
9 contaminant level, the MCL, which for TCE is five
10 parts per billion. And it's also five parts per
11 billion for PCE and benzene. The MCL for vinyl
12 chloride is two parts per billion and it's a hundred
13 parts per billion for DCE. And finally we just
14 compared exposed with unexposed.

15 I just want to let you know that we were not
16 able to look at all three of these ways for all the
17 chemicals, because if there were less than two
18 exposed cases in a particular grouping, we couldn't
19 look at that. Jerry, you look like you might have a
20 question?

21 **MR. ENSMINGER:** What did you say about how many
22 parts...

23 **MR. MASLIA:** Trans.

24 **MS. RUCKART:** Okay. Everyone good? So this is
25 our primary analyses. We calculated odds ratios and

1 95 percent confidence intervals. And an odds ratio
2 compares the risk or odds of disease among the
3 exposed with the risk among the unexposed. So an
4 odds ratio of greater than one indicates a higher
5 risk of disease among those exposed than among the
6 unexposed. And we calculated a 95 percent
7 confidence interval, just to give us a sense of how
8 uncertain we are about the actual risk. And a wide
9 confidence interval indicates a lot of uncertainty
10 and that the estimate's not very precise. We chose
11 a 95 percent confidence interval to just be in line
12 with what's typically done. You can choose any
13 level you want.

14 We used two criteria to assess the
15 associations, being the magnitude of the odds ratio,
16 how large it is, how much larger than one it is, and
17 the exposure response relationship. And by that, I
18 mean increasing risk with increasing levels of
19 exposure to the chemicals. So those at the highest
20 exposure category have the highest risk; those in
21 the middle exposure category have less risk than the
22 higher but still greater than one. So it's going up
23 in a linear fashion. We gave more weight to results
24 that had a monotonic trend, which is what I just
25 described. And if we couldn't evaluate exposure

1 response because of too few cases in a particular
2 category, then we highlighted situations where the
3 odds ratio was greater than or equal to 1.5.

4 We compared models that included potential
5 confounders, and those were the adjusted models, to
6 models that didn't have any risk factors in it, and
7 those were called unadjusted. And we would present
8 the adjusted results if they differed from the
9 unadjusted results by more than 20 percent.

10 So we also conducted some additional analyses
11 to supplement the primary analyses which I just
12 described to you, and this included using an
13 unexposed group that had no residential exposure to
14 any VOCs, so keep in mind in the main analyses you
15 just didn't have exposure to the contaminant we were
16 looking at; you could have had exposure to any of
17 the others. But in the supplemental analysis, we
18 had what I'll call a clean unexposed group; they had
19 no exposure, residentially, based on the water
20 modeling to any of these contaminants.

21 We also looked at how much water the mothers
22 reported drinking. We got this information from the
23 survey, the interviews. And we categorized this as
24 mothers who reported drinking five or less glasses
25 of water per day compared with those who reported

1 more than five glasses per day during the first
2 trimester. We couldn't evaluate this for all of the
3 chemicals, because some of the exposure groupings,
4 again, had less than two exposed cases.

5 In the secondary analysis, we also looked at
6 the estimated maximum monthly exposure; in the main
7 analysis we looked at average. So by average, what
8 I'm talking about, the first trimester we would have
9 looked at for example months 1, 2 and 3, what level
10 did they have, add them all up, divide by three;
11 that's your average. For the maximum, we would have
12 looked at those three months, whatever was the
13 highest, that's what we would have used.

14 We also, as part of the secondary analyses,
15 conducted separate analyses for cleft lip with or
16 without cleft palates, as one group, and cleft
17 palate, and also one for childhood leukemia, keeping
18 in mind that in the main analyses we combined both
19 types of oral clefts and we looked at both types of
20 cancers together. We couldn't look at NHL
21 separately because of -- there were only two cases.

22 We also conducted a sensitivity analyses and
23 this was to assess possible bias. So in this
24 analysis we included the six unverified cases. We
25 said we're just going to assume that they had the

1 condition and we added that into our 52 cases, so we
2 had 58. And we recalculated the odds ratios to see
3 if this changed the results.

4 We also wanted to look at births that were
5 identified through the referral process to see if
6 that constituted a biased sample. So to see if the
7 births identified through that process were biased,
8 we restricted the analyses to just those people for
9 whom we had a birth certificate, and then we saw if,
10 just using those people, did that change the
11 results. We also evaluated whether we're finding
12 the exposure window using gestational age
13 information changed the results for the NTDs and
14 oral clefts. And as I was mentioning to you before,
15 the window of susceptibility for neural tube defects
16 is the fourth week and for oral clefts it's the
17 sixth to ninth week. We didn't have birth
18 certificate data for everybody. We didn't have
19 gestational age. We had to make some assumptions.
20 We assumed in the main analyses that everybody was a
21 term birth born at 39 weeks, and we know that's not
22 true but we had to use that as our basis to
23 calculate when their first trimester would be. But
24 since we knew -- but since we did have birth
25 certificate data for some people, we looked at if we

1 were able to really calculate the first trimester
2 and hone in on that, did the analysis just on that
3 group differ from the analysis using the larger
4 group where we made these assumptions.

5 And additionally to detect for a potential
6 uncontrolled confounding or some other source of
7 bias, we evaluated third trimester exposures to NTDs
8 and oral clefts. Now, this is a non-relevant
9 exposure window, so we wanted to see do we see
10 something when you wouldn't expect to see something.
11 And we couldn't do this for the cancers because it
12 wasn't really clear when the non-relevant exposure
13 period was.

14 So this table presents the results for NTDs.
15 The full table is in the manuscript I handed out to
16 you. It says confounding was negligible for just
17 presenting unadjusted results. So the odds ratio
18 for TCE over the MCL, greater than five parts per
19 billion, was 2.4. And the risk increased with
20 increasing levels of exposure. So as you can see,
21 that above the MCL is 2.4, and below the MCL is 1.1,
22 so it is increasing; 1.1 is still elevated above 1.
23 The odd ratio for any benzene exposure in NTDs was
24 4.1. But we couldn't assess the exposure-response
25 relationship because there were less than two

1 exposed cases. And we did see associations between
2 NTDs and the other VOCs.

3 Now I was going to explain to you how we -- how
4 you can calculate an odds ratio. So in the benzene
5 example, you would take -- you would take 453 times
6 6, that's 2,718. And then you would take 73 times
7 9, and that's 657. So you do 2,718 divided by 657,
8 that's 4.1. So the results for childhood cancers
9 and adverse first trimester exposure, the OR for any
10 PCE exposure was 1.6, for any vinyl chloride
11 exposure it was also 1.6, and for any DCE exposure
12 it was 1.5. But for childhood cancers, we didn't
13 observe the risk increasing with increasing levels
14 of exposure. And we didn't see associations between
15 childhood cancer, first trimester exposure to the
16 other VOCs we evaluated.

17 And as I mentioned for childhood cancers, we
18 also looked at exposures during the second and third
19 trimester, the entire pregnancy as a whole and the
20 first year of life, and we didn't see associations
21 with these time periods. I just want to point out
22 to you that exposure to all the contaminants in the
23 drinking water did not increase the risk for oral
24 clefts. All the odds ratios were at or below 1.

25 **DR. CANTOR:** So these were all childhood

1 cancers, ALL, brain cancer?

2 **MS. RUCKART:** No, it's the hematopoietic
3 cancers, I have just been shortening it to say
4 childhood cancers but earlier on... So it was to
5 add NHL and leukemia. Diagnosed before age 20.

6 So the result of our secondary and sensitivity
7 analyses, when we considered how much water the
8 mothers reported drinking, mothers who reported
9 drinking five or less glasses of water per day
10 compared to those drinking more than five, the odds
11 ratio for NTDs in TCE was 2.1, so that's not very
12 different than not including the water, and that
13 odds ratio was 2.4. So very similar.

14 This was the only outcome exposure pair we
15 could evaluate using the water usage data because
16 the other categorizations had less than two exposed
17 cases. And the reason you can't do that is because
18 if there's less than two cases, then you have very
19 small cell sizes and so the results can be unstable.

20 So although selection bias is possible because
21 some participants came from the referral process,
22 our sensitivity analysis indicated that this would
23 likely be minimal. And we can say that because when
24 we restricted the analyses to those for whom we had
25 birth certificates and were able to look at the more

1 refined exposure window based on their first
2 trimester, we attained similar results as when we
3 used all cases and controls and made the assumptions
4 that everybody was a term birth. And we did not see
5 associations between third trimester exposures to
6 the contaminants and NTDs and oral clefts, which
7 is -- you wouldn't expect to see that. So that
8 supports our assumption of no potential uncontrolled
9 confounding or selection bias.

10 So all studies have limitations and the
11 limitations of this study include small numbers of
12 cases which result in low precision of the odds
13 ratios, by that we saw wide confidence intervals.
14 Despite our extensive efforts that I mentioned to
15 you we were unable to confirm six reported cases.
16 Cases were identified through a survey, which is not
17 an ideal method of obtaining them. And even though
18 the survey achieved a high participation rate of
19 almost 80 percent of the estimated number of
20 pregnancies, the rates of these birth defects and
21 childhood cancers among those who didn't participate
22 is unknown. The interviews were conducted 20 to 37
23 years after the births. That's likely to contribute
24 to errors in recall about certain risk factors and
25 water consumption ^ . And because some of the

1 contaminants were correlated such as TCE and DCE and
2 benzene, and we had small numbers of cases, it was
3 really hard to distinguish the effects of one
4 chemical independent of the other, and we couldn't
5 evaluate more than one chemical at a time in the
6 model because of the small number of cases, it would
7 have led to unstable results. As I mentioned a few
8 times here, we didn't have data on gestational age
9 of birth for all participants. And we also didn't
10 have information on water usage at locations other
11 than where the mother lived. And although we had a
12 comprehensive exposure assessment, it's probable
13 that exposure misclassification occurred, and this
14 would likely bias the results toward the null,
15 meaning no association, when there's comparisons of
16 two levels and it could distort the
17 exposure-response relationship in comparisons
18 involving more than two levels, and by that I mean
19 the lower exposure group could have had a higher
20 risk than the high exposure group. That's not what
21 you'd expect.

22 So to summarize, the odds ratios suggested
23 associations between first trimester exposure to TCE
24 and benzene and NTDs. And during the first
25 trimester of pregnancy, the risk of NTDs increased

1 with increasing levels of exposure, where I showed
2 you it was 1.1 and then 2.4. And this finding is
3 consistent with the previous study in New Jersey,
4 which found a similar risk of NTDs when they're
5 exposed to TCE during the first trimester. We could
6 not evaluate whether benzene -- whether exposure to
7 benzene levels increased with increasing levels of
8 exposure to the too few cases.

9 The odds ratio suggested associations between
10 first trimester exposure to PCE and vinyl chloride
11 and TCE and the childhood cancers, but these were
12 weaker than what we saw for NTDs because we were
13 unable -- we could not and we did not observe the
14 exposure-response relationship of increasing risk
15 with increasing levels of exposure. And the ORs in
16 the study were imprecise having wide CIs. We didn't
17 find evidence suggesting associations between the
18 other outcomes and exposures; as I mentioned we
19 didn't see anything with oral clefts.

20 So this study used extensive water modeling to
21 reconstruct the past exposures, and that helped us
22 to more thoroughly evaluate these associations.
23 Most previous studies have just looked at the broad
24 water system level versus looking at the residents.
25 We have the model levels.

1 **MS. RUCKART:** Right, so the New Jersey study is
2 for the neural tube defects and the Woburn is for
3 the leukemia. It is rather limited.

4 **MR. PARTAIN:** But how does it -- I guess what
5 my question is --

6 **MS. RUCKART:** The levels?

7 **MR. PARTAIN:** -- how does this finding fit in
8 with the body of knowledge that's out there with the
9 chemicals?

10 **MS. RUCKART:** Right. Well, it is limited. So
11 it adds to it.

12 **MR. PARTAIN:** Is it in agreement --

13 **MS. RUCKART:** Yeah, --

14 **MR. PARTAIN:** Is it in agreement with that --

15 **MS. RUCKART:** -- it is in agreement with the
16 study in New Jersey. I think that was 1.6, and we
17 saw 2.4 for the neural tube defects. And for the
18 cancer -- I think it says it right in the paper --

19 **MR. PARTAIN:** Yeah, 'cause as we know, there's
20 very few laws in science, and you try to get the
21 body of knowledge, and you look at what's out there
22 and see how it fits together to get a bigger
23 picture. That's what I'm trying to ask here.

24 **MR. STEVE WILKINS:** I think you're asking are
25 there other studies that she's aware of that agree

1 with what she found.

2 **MR. PARTAIN:** That's it.

3 **MR. STEVE WILKINS:** Besides New Jersey.

4 **MS. RUCKART:** No.

5 **DR. BOVE:** Well, that's because there's very
6 few studies done. There's a Cape Cod study, if I
7 recall, they did see some association with NTD but
8 I'm trying to remember.

9 **DR. CLAPP:** Well, the Woburn study found some
10 association, what they called environmental birth...
11 The Woburn logicos-styled studies showed an
12 association of water from these contaminated wells
13 with trichloroethylene, and what they called
14 environmental defects, which included NTDs, there
15 was also oral clefts and spina bifida.

16 **DR. BOVE:** Yeah, and then they did another
17 study at Woburn where it was never published. And
18 there are too few cases to really look at anything
19 (unintelligible). There was a little bit of an
20 indication of an effect with neural tube defects and
21 clefts. Two cases of observed/exposed, one case not
22 exposed. That's a ratio of two. You know, so
23 that's -- what we're dealing with here are
24 situations where you have small populations with
25 rare outcomes. And there are very few studies.

1 **MS. RUCKART:** I will add, though, we were not
2 highlighting oral clefts because we saw observations
3 (indiscernible) association that also is in line
4 with what other studies have found.

5 **MR. PARTAIN:** So your findings are not a
6 scientific (unintelligible). They're not
7 (unintelligible)? Okay.

8 **DR. BOVE:** Well, there's not a whole lot out
9 there.

10 **MR. PARTAIN:** Well, that's the point. And the
11 other question is, you know, as with academia and,
12 you know, the more I get into my master's program,
13 the more understanding I'm getting of this, what are
14 you guys doing to get the information out there
15 into -- I know it's in the environmental health
16 science journals but what about other journals,
17 conferences? Are you presenting it anywhere? Have
18 you been invited to go anywhere? You know, we've
19 got this one article out. Has anyone contacted you
20 all to speak or do anything about it? 'Cause that's
21 part of sharing the knowledge with -- you know,
22 scientific knowledge through academia to get out
23 there.

24 There was no real hoopla from ATSDR when both
25 these studies were announced. But, you know, I know

1 that they were published in one journal. And as
2 part of supporting y'all's work and everything, I
3 know there's conferences that happen all the time
4 with environmental health and things like that. Are
5 you guys planning to attend? Are you talking about
6 it? Has anyone come back to ATSDR and said we want
7 to know more about this? We want Frank or Perri to
8 come speak? I mean, that's the academic discourse
9 that happens.

10 **DR. IKEDA:** I can speak to when the paper was
11 published in the journal. So the journal does, you
12 know, maintain the control about the media related
13 to press release, et cetera, getting, getting the
14 word out. So they did not request a press release
15 for this particular article. But I can't speak to
16 whether we've had subsequent requests since then,
17 but certainly you're right, getting the word out
18 there, whether it be through conferences or, you
19 know, abstract publications or the like, I don't
20 think we have had those requests or not, speaking
21 engagements...

22 **MR. PARTAIN:** Have you guys been contacted
23 already?

24 **DR. RAGIN-WILSON:** We've contacted to speak at
25 one conference that's coming up in, I think it's in

1 September.

2 **MR. PARTAIN:** What conference is that?

3 **DR. BOVE:** Oh, the ISC -- what's it called,
4 Morris?

5 **MR. MASLIA:** Oh, International Society for
6 Exposure Science?

7 **DR. BOVE:** Yeah.

8 **MR. PARTAIN:** And are you guys attending or?

9 **DR. BOVE:** We have an abstract in the clearance
10 process.

11 **MR. PARTAIN:** Okay.

12 **MR. ENSMINGER:** Say that again?

13 **DR. BOVE:** We have an abstract in the clearance
14 process for that conference. It's in October.

15 **MR. PARTAIN:** Where is that being held and is
16 that open?

17 **DR. BOVE:** Actually Morris knows more about
18 this than I do.

19 **MR. STALLARD:** We have the question, yes.

20 **MR. MASLIA:** It's the -- It's the International
21 Society for Exposure Science Annual Conference.
22 It's in Cincinnati, Ohio. It's, I think, October 6th
23 through 10th or some -- 6th through 10th, like that.
24 There's a variety of topics specifically focused on
25 exposures as opposed to say American public health,

1 which is a much broader type of conference.

2 **MR. STALLARD:** So the study that was just
3 shared with you results in the components of the
4 water modeling are part of that abstract? Do you
5 follow the question?

6 **MR. MASLIA:** I'm going to defer to somebody in
7 agency leadership or otherwise to answer that
8 question.

9 **MR. STALLARD:** Okay. What was the question?
10 The presentation to advance the knowledge base on
11 science that's been done would include everything
12 that went into this from the water modeling study,
13 is the question.

14 **DR. IKEDA:** I don't know the answer to the
15 question.

16 **DR. RAGIN-WILSON:** I don't know if it includes
17 the water modeling but it does include some of this
18 epidemiology studies that we completed for mortality
19 and adverse pregnancy outcome as well as the birth
20 defects and childhood cancers.

21 **MR. ENSMINGER:** You can't do those studies
22 without the water model.

23 **MR. STALLARD:** I was just trying to understand
24 for myself.

25 **MR. PARTAIN:** I know I'm on the academic

1 journals, you know, looking around and poking around
2 as part of my work I'm doing and I know it's soon
3 for it to start showing up but you guys have been
4 working on it for a long time.

5 **MR. FLOHR:** Well, these articles were published
6 in the UK; is that right? Periodical?

7 **DR. BOVE:** No, it was just published in the
8 journal of environmental --

9 **MS. RUCKART:** It's an online journal. It's an
10 international journal. They have different offices
11 and, you know, like the Philippines for different
12 things and whatever, but one of the editors is an
13 American --

14 **MR. FLOHR:** So it's been widely known as about
15 the journal.

16 **MS. RUCKART:** Well, if you look at our
17 articles, it'll have a little flag that says they're
18 highly accessed.

19 **MR. STEVE WILKINS:** Okay. I guess I'll just
20 make a little point that while they may not have --
21 the Marine Corps did do press releases on each one
22 of these and provided links in the press release as
23 well as online.

24 **MR. STALLARD:** Say that again, I'm sorry? They
25 did print (unintelligible)?

1 **MR. ENSMINGER:** Yeah, they sent letters out.

2 **MR. STEVE WILKINS:** But that's not academic.

3 **MR. PARTAIN:** Part of the reason I'm, you know,
4 bringing the point up, too, is I know that there was
5 a reporter trying to write about it, the release of
6 the mortality study, and he said every time he
7 called up to ATSDR to talk about it, they took an
8 extraordinary long time to get a response and the
9 response was no, we're not going to let you to speak
10 to anybody. At one point he spoke to Vik, and then
11 when he tried to get more information and actually
12 asked to speak to some of the scientists who wrote
13 and worked on the report, he was denied access to
14 them. So and he was just trying to write a story
15 about the release of the mortality study. And we
16 heard that from him and I think one other.

17 **MR. ENSMINGER:** Let me get this. Is ATSDR
18 ashamed of the work that they did for Camp Lejeune?
19 Then why?

20 **DR. IKEDA:** No, not at all.

21 **MR. ENSMINGER:** Well, why not get out and, you
22 know, hey, I mean, you're doing your job. You
23 should be shouting this to the rooftops, I mean.
24 Let's get out there and spread the word.

25 **DR. IKEDA:** You know, the decision by the

1 journal in terms of the immediate press surrounding
2 the immediate release of the article that's in their
3 purview, and in terms of doing things now, I think
4 we are invited; we're certainly willing to consider
5 those opportunities. I thought that some press had
6 been done by the center but there wasn't. Is that
7 right?

8 **DR. RAGIN-WILSON:** As far as I know
9 (inaudible).

10 **MR. STALLARD:** Okay, so the question that's out
11 there is, what if any press has been done in release
12 of this study from the ATSDR? If not, why not?

13 **MR. ENSMINGER:** I mean, in these conventions
14 and stuff I mean, is there a professional related --
15 they should be going to these things and talking
16 about the work that they did at Camp Lejeune.
17 That's furthering the knowledge that you gain by
18 doing this stuff and sharing it with other people so
19 that they can take it and move it forward.

20 **DR. IKEDA:** I think, if there are suggestions
21 on how we can get the word out and spread the word,
22 share the information, we're open to those
23 suggestions. But we might be able to utilize all of
24 you, too, to help us extend our reach so to speak.

25 **MR. PARTAIN:** I mean, a suggestion would be to

1 coordinate, since we both have to have both studies
2 done and the water model done, that ATSDR leadership
3 put together some type of press announcement to the
4 mass media and communicate that, you know, to what
5 you have found and what it means. 'Cause, like I
6 said, Jerry and I, we get calls from the media all
7 the time wanting comment wanting information about
8 what's going on with Camp Lejeune, and like I
9 mentioned before, when the mortality study was
10 released, we had several reporters calling us,
11 scratching their heads wondering like why isn't
12 ATSDR talking about this? Why won't they talk to
13 me? And, you know, one agency just flat out
14 wouldn't even report on it because they couldn't get
15 a straight answer out of anybody. So they just
16 glossed over the story. And this is huge. I mean,
17 we've been waiting for these reports for a long
18 time. And more importantly, you know, what we were
19 doing with the VA earlier, you know, that using the
20 NRC report from 2009. And we now have science that
21 is taking the conjecture out of this and said --
22 science is saying that we are finding correlations
23 between exposure in the water and adverse health
24 effects. That knowledge needs to be disseminated to
25 the Camp Lejeune registry and to everybody who's out

1 there because it's important and it does affect
2 people. It affects these veterans trying to get
3 benefits, you know, for their families in case they
4 passed away from their cancers. It affects people
5 who need to protect their health and the people who
6 are treated. So I would like to see ATSDR formally
7 do something and contact the news medias. I mean,
8 the only thing we saw on the mortality was in print.
9 There was nothing on the mortality study and the in
10 utero study. There was nothing released out to the
11 major news networks, video, nothing.

12 **MS. FRESHWATER:** I was talking with Angela
13 earlier, and I was a communications manager for a
14 congressional campaign. I kind of come from that
15 background and I offered my help in any way doing
16 social media and anything like that. It's the work
17 I do on my own and I certainly would be willing to
18 help in anything like that.

19 **MR. STALLARD:** Thank you.

20 **DR. BOVE:** Can we move on?

21 **MR. STALLARD:** We can move on, and that would
22 be appropriate.

23 **DR. BOVE:** I'll try to do this a little quicker
24 so we can get through...
25

1 **MORTALITY STUDY**

2 **DR. BOVE:** So this is the mortality study.

3 It's called a retrospective cohort study, and what
4 that means is that we defined a cohort in the past,
5 and then we follow them up to, in this case, 2008.
6 So it's retrospective cohort, okay? The purpose of
7 the cohort study was to look at residential exposure
8 to these contaminants and see if it increased the
9 risk for certain causes of death, certain cancers
10 and also other non-cancer chronic diseases that were
11 of interest, okay?

12 So it's a data linkage study, which means we
13 don't contact anybody. We use the information we
14 have on people from the personnel records that are
15 held by Defense Manpower Data Center, you can see on
16 the slide. And we used that information both to
17 help us assign exposures and also to find out
18 whether the people lived or died, and if they died,
19 what they died of. Okay? We first identified the
20 Camp Lejeune cohort, the DMDC data does not have
21 unit codes before April of 1975. Without unit
22 codes, we don't know where they served. So we had
23 to limit the study to people who began service
24 sometime between April '75 and December '85 for both
25 cohorts. And then for the Camp Lejeune cohort, they

1 had to be at the base sometime between those dates,
2 April '75 and December '85. And we had 154,932
3 Marines who fit -- and Navy personnel, who fit that
4 definition.

5 For Pendleton, same thing, they had to begin
6 the active duty service between, any time between
7 April '75 and '85. They had to be stationed at
8 Pendleton sometime during that period but they were
9 never stationed at Camp Lejeune during that period.
10 And there were 154,969 of those.

11 This is what is in the DMDC database. Key
12 things are, that we can use, is Social Security
13 Number, and that's essential, full name is
14 important, date of birth is very important, and as I
15 said before, unit code, because unit code tells us
16 where they were. And there are other items in the
17 DMDC data that are useful either for the exposure
18 assessment or for adjusting for risk factor such as
19 occupation, rank and so on.

20 So for the exposure assessment, we needed
21 information on family housing records, which we had.
22 We needed to have information on where units were
23 barracked, on base. For that we had to ask two
24 retired Marines, one of them is sitting in this
25 room, Jerry, and for -- we also needed the dates

1 they were stationed at Camp Lejeune, that was from
2 the DMDC data. And then we had Morris's team's
3 monthly estimates.

4 For the vital status databases, we used, we
5 used Social Security's master file, another Social
6 Security database called presumed living search file
7 and a commercial tracing service like Lexis-Nexis,
8 for example. This will tell us whether the person
9 was alive, and that was key, because if they were
10 dead, then we would go to this database called the
11 National Death Index. Earlier we talked about the
12 fact that there's no national cancer registry for
13 cancer incidence but there is a National Death Index
14 and it makes these studies much more feasible to do
15 in a short -- much shorter period of time than you
16 would have for the cancer incidence study. So there
17 are limitations to a mortality study but this is one
18 of the advantages, that we have this National Death
19 Index. It covers the entire country plus Puerto
20 Rico and the Virgin Islands.

21 Now, the data collection started in January of
22 '79, and so that's when our follow-up starts with
23 this cohort. We couldn't do it beforehand because,
24 to do that we'd have to actually go to each state
25 and get their death certificates. Instead we

1 started in January '79, when the NDI started
2 collecting data, and they had complete data up to
3 2008. So they have underlying and contributing
4 causes of death. We focused on underlying, although
5 we did look at contributing and it didn't change the
6 results.

7 Okay, so next slide. So from those Social
8 Security databases we determine whether the person's
9 alive or dead. And then for those people -- or
10 we're not sure, okay? And so for the people who we
11 know are dead and for those who we were not sure, we
12 then send those names -- those Social Security
13 Numbers, really, and names to the National Death
14 Index and get cause of death for them. And so
15 that's how that's done.

16 Now, we decided to focus on -- we decided to
17 split the diseases that we're interested in into two
18 groups, one group where there was a lot more
19 information, a lot more evidence, let's say
20 causality, in particular kidney cancer in TCE, which
21 is -- there's pretty much convincing evidence. But
22 some of these other cancers, there is pretty good
23 evidence, it's not necessarily definitive, but
24 pretty strong evidence that there's a relation
25 between the TCE, PCE or solvents in general, benzene

1 and these diseases. So those were a primary
2 interest.

3 Then we had a longer list of diseases of
4 secondary interest where there's some indication,
5 maybe one study, maybe two, where there's an
6 association but it's still kind of murky, and also
7 some of these studies just looked at solvents in
8 general, without defining what they were. So it's a
9 longer list but we wanted to look at as many
10 diseases as we could, and so this is just a group of
11 secondary diseases.

12 For the exposure assessment, we did something
13 similar to the previous study. We linked the water
14 team's modeling monthly averages to where we thought
15 the person was living. And we calculated --
16 basically we focused on cumulative exposure, which
17 is simply the amount of time you're at the residence
18 getting your drinking water, and then the level of
19 that drinking water, which gives you then the
20 cumulative exposure, okay? You can stop me if we
21 have any questions, we can go through it but I
22 wanted to get through this as quickly as possible,
23 'cause it's getting late.

24 But one of the things you can see in Tarawa
25 Terrace is that there's a big difference in the

1 contamination over time. In the beginning of the
2 study, it's pretty high, 68 parts per billion or
3 micrograms per liter, but in the later part of the
4 study period, it went up considerably, in our
5 estimates anyway. And after January '85 in this
6 case then the contamination is mostly gone.

7 For Hadnot Point, similar. In the early part
8 of the study, I mean, this is a whopping amount of
9 TCE but take a look at the amount of TCE from
10 January '80 to '85. It went up considerably. So
11 again, there are differences in time periods here in
12 the study where the exposure would be a little bit
13 different.

14 Now, to assign exposure, we didn't have contact
15 with these people so we had to make some
16 assumptions, some of which are problematic. We
17 decided that, if you weren't married you lived in
18 the barracks or you were an officer and lived in
19 bachelor officers' quarters; that's what BOQ stands
20 for. For females, we were under the impression that
21 before 6/77 all the females, all of them were
22 barracked at main side, which is served by Hadnot
23 Point water, and then after that, they were
24 barracked at Camp Johnson. We later find out that
25 some were barracked at Camp Johnson but others were

1 barracked with their unit. That was a mistake.
2 It's not going to have much, if any, impact because
3 of the small number of women in the study. But
4 we're learning now that some of the assumptions we
5 made were problematic and we actually learned this
6 through the health survey.

7 Married, we also had to assume something for
8 married, and we assumed that either they lived in
9 family housing or they lived off base. We're
10 finding out now that many probably lived on base in
11 the barracks. But from the DMDC data there's no
12 information to determine that. So again, another
13 source of error in the exposure assessment and that
14 is a problem with these studies. But these are the
15 married family housing unit, areas. The New River
16 and Courthouse Bay are not getting contaminated
17 drinking water. Knox trailer park is getting some;
18 we don't know how much. But they're getting some
19 from Tarawa Terrace and some from ^. Okay, so
20 that's the exposure assessment in a nutshell.

21 And similarly to the previous study, we're
22 looking at the size of the effect. In this case
23 they're called hazard ratios or rate ratios,
24 whatever you want to call them. That's the size of
25 the effect. We're looking to see if the exposure,

1 as the exposure increases, does the risk increase?
2 And we're looking to see if the findings are
3 consistent both within the study we find similar
4 findings in different comparisons we're making and
5 also how consistent they are with our other previous
6 research. So we have a couple of ways of looking at
7 the information in order to interpret it. We also
8 of course calculate confidence intervals to give us
9 some idea of how uncertain the estimates are.

10 So the demographics -- let me step back one
11 second. We did three different types of
12 comparisons. The first one was to compare both
13 Lejeune and Pendleton's cohorts to the U.S.
14 population rates, okay? So that's one, and I'll
15 talk about that in a minute. The second comparison
16 was a straight comparison between Lejeune and
17 Pendleton. And the third comparison was within Camp
18 Lejeune. We looked at cumulative exposure within
19 Camp Lejeune. So those were the three key
20 comparisons that were made in this study, and then
21 there were some variations too.

22 The demographics between Camp Lejeune and Camp
23 Pendleton, they're very similar. There are a few
24 things that are different. The African-American
25 population is higher at Camp Lejeune. The other

1 ratio, which was a grab bag, was a little bit higher
2 at Camp Pendleton. There are some differences in
3 high school graduation and college graduation, but
4 there are really not major differences between these
5 two groups.

6 We have a lot of follow-up time. Person-years
7 -- oops, hit the wrong button. Person-years of
8 follow-up. If a person is followed for ten years,
9 that person contributes ten person-years. If there
10 are two people followed for ten years, that's
11 20 person-years. So you get the idea. You multiply
12 the number of people times the number of years that
13 are followed, that's where you get person-years
14 from. It's basically the denominator of any rate.
15 And here we have a lot of person-years of follow-up.
16 It's a large cohort. But one thing to keep in mind,
17 and I know (unintelligible) the previous slide, was
18 the age of this cohort, and this is very important.
19 The age at the end of follow-up was -- and the
20 median age was under 50. So this is an extremely
21 young cohort, even at the end of this study. And
22 very few, as you see at the bottom line there, very
23 few are over 55 at the end of the study, okay? So
24 it's a young cohort. And that has implications on
25 what you see later in the slides.

1 Okay, so the follow-up was from January '79 to
2 December 2008. Okay, and the first thing we did, as
3 I said, we compared the mortality rates in Camp
4 Lejeune and Camp Pendleton to what was -- what are
5 the U.S. mortality rates, okay? And what was
6 calculated is called an SMR, a standardized
7 mortality ratio. It's similar to a relative risk.
8 You interpret it the same way, okay? And when you
9 see it in the paper, you're seeing an observed
10 number of deaths in a particular cohort. Then you
11 see something called the expected number of deaths.
12 And let me run through this real quick. How do you
13 get the expected number of deaths, okay? So
14 here's -- let's say this is Camp Lejeune here in the
15 first column. The first column, and the second
16 column is the amount of person-time in that cohort
17 for each of those age groups, as you see there. To
18 get the expected, what you do is you apply that
19 third column, which is the U.S. mortality rate for
20 that particular age group. In this case it's the
21 first row; it's 141.2 cancer deaths per million
22 person-years. You multiply that times the number of
23 person-years in the Camp Lejeune cohort, that's
24 column 2; that gives you your expected. So
25 basically what you're doing with an SMR is you're

1 basically saying, here's the rate in this cohort,
2 the mortality rate for each of these cancers, and
3 here's the rate in the U.S. And you adjust for age
4 and sex and so on; you factor those in. But it's
5 really a comparison of two rates. It's basically
6 saying how different is Camp Lejeune's rate from the
7 U.S. rate. Okay? And so this is what it looked
8 like in the paper. This is the diseases of primary
9 interest: kidney cancer, bladder and so on. And
10 one thing that will strike you almost immediately is
11 that most of the SMRs, most of the relative risks
12 here are less than one, which means that the rate of
13 the particular disease in either of these cohorts is
14 lower than the U.S.

15 Now, why is that? The reason is because this
16 is a healthy cohort. In order to become a Marine,
17 you have to be in top physical shape. The rest of
18 us in the general population unfortunately are
19 nothing like that. And so Marines are going to be
20 healthy -- this is expected in other words. You
21 would expect all these SMRs to be less than 1. The
22 fact that you see some that are above 1, in
23 particular kidney cancer in Camp Lejeune, is pretty
24 amazing because all of these should be less than
25 one.

1 As this cohort ages over time, eventually those
2 rates will get closer to 1 and maybe even go past 1
3 for a lot of these diseases. But they're a still
4 young cohort. They're still physically fit compared
5 to the U.S. population. And so that's why you see
6 all the -- most of the SMRs less than 1.

7 That's true also of this other chart. These
8 are the diseases of secondary interest, okay? Now,
9 I want to -- and the same thing, same phenomenon.
10 If you see any of them that are in excess, that is
11 interesting right off the bat, because they
12 shouldn't be in excess.

13 In particular one thing we've found in other
14 military cohorts, Lou Gehrig's disease, ALS, that is
15 in excess both in Pendleton and at Lejeune, a little
16 bit higher at Pendleton but I think there's pretty
17 much about the same. We're seeing this in military
18 cohorts, in other military cohorts, we're not sure
19 why. It's an interesting finding.

20 **MR. STEVE WILKINS:** Excuse me.

21 **DR. BOVE:** Yeah.

22 **MR. STEVE WILKINS:** Question. When you see
23 differences between the two cohorts, like for
24 pancreatic cancer for Pendleton is .73 and Camp
25 Lejeune is .98, and there were a couple on the other

1 slide with liver cancer, esophageal cancer, kidney
2 cancer. How significant is that?

3 **DR. BOVE:** Well, I'm going to show you that.
4 We do a direct comparison between the two. You
5 can't just divide these two together, because there
6 are differences in the age breakdown and so on, but
7 we're going to get to that in a second.

8 But I just want to say one other thing, though,
9 in the last three rows, we have three diseases there
10 that we included just because they're smoking-
11 related but they're not, as far as we know, have any
12 relationship to solvent exposure. Stomach cancer's
13 not that strongly related to smoking but it is --
14 but certainly cardiovascular disease and COPD are.
15 And so looking at this, you're not getting a sense
16 of there's much going on in terms of smoking in
17 either of these groups. Again, though, it's a young
18 cohort.

19 **MR. FLOHR:** Frank --

20 **DR. BOVE:** Yeah.

21 **MR. FLOHR:** -- of interest about ALS, several
22 years ago, about three or four years ago, the
23 Institute of Medicine issued a very small report on
24 ALS which found that there was a greater incidence
25 of ALS in veterans as compared to the general

1 population. And based on that actually VA took the
2 steps to make that presumptive. Any veteran who
3 gets ALS is presumed that it was caused through
4 their service.

5 **DR. BOVE:** Yeah, yeah. Thank you. Okay. So
6 we did that comparison 'cause we were -- there was a
7 question of how both bases would rank compared to
8 the U.S. population, so we did that. But really we
9 were focused on comparing Lejeune and Pendleton
10 together. So and this we calculated what's called a
11 hazard ratio. I'm not going to go into the
12 statistics of this but anything, a hazard ratio
13 above one means that Camp Lejeune had a higher
14 mortality rate than Camp Pendleton. If it's less
15 than one, it's the reverse, okay? And we take into
16 account age, race, sex and education level, and the
17 education level at the time, not -- they may have
18 gotten higher education after the study period but
19 we don't have information on that, but at the time
20 of the study we looked at their education level and
21 the rank.

22 And then we lag exposures by ten years. And we
23 do this because there's a latency period between the
24 time of exposure and the onset of a cancer or some
25 of these chronic diseases. So we take into account

1 the fact that if you get exposed today you're not
2 going to get the cancer tomorrow but you normally
3 would get it several years from that. We lag
4 exposure for that reason, take that into account,
5 okay?

6 So this is the comparison between Lejeune and
7 Pendleton. And one of the key ones, I think, again,
8 is kidney cancer, since there's some literature -- I
9 mean, there's definitive literature on TCE and
10 kidney cancer, and it is elevated here, but there
11 are other ones that are as well like liver cancer,
12 esophageal and Hodgkin's and multiple myeloma and
13 some of the leukemias and so on. Cervical cancer is
14 elevated based on five cases.

15 By the way in terms of confidence interval,
16 just an educational point, when you have a lot of
17 deaths from a particular disease, in this case all
18 cancers, you have a very narrow confidence interval,
19 1 to 1.2. That's pretty narrow. Look at cervical
20 cancer now, with very few cases, you have enormous
21 confidence interval, and that's basically why
22 confidence intervals are narrow or wide. They're
23 that way because there -- for narrow confidence
24 intervals, you have a lot of deaths that you're
25 looking at for that specific cause. For wide

1 confidence intervals, that's due to the fact that
2 there are much fewer deaths, okay?

3 There are also several cancers here in the
4 secondary group that were elevated when we compared
5 the two cohorts: pancreatic cancer, rectal cancer,
6 soft tissue, lung is a little bit elevated and so
7 on.

8 So this was the -- then we decided, okay, this
9 is interesting but we want to know in this
10 comparison between Lejeune and Pendleton, we have
11 this other information about cumulative exposure for
12 the Lejeune cohort. Is the excess mostly in the
13 people who are higher exposed at Lejeune or much
14 lower exposed? This was sort of a secondary thing
15 we did to see if we could tease out what's going on
16 here, whether these excesses are, you know, more
17 clearly related to the cumulative exposure or not.

18 Oh, I'm sorry, before I did that I wanted to
19 say one other thing about the smoking-related
20 cancers. They were all a little bit higher in Camp
21 Lejeune than Camp Pendleton, and the highest was
22 stomach cancer at 1.15; however, for a lot of the
23 other smoking-related cancers, for example laryngeal
24 cancer, which is a very strong smoking-related
25 cancer, it's less than 1; it's much less than 1. So

1 it's a mixed picture here. It's not clear that
2 smoking has anything to do with anything here. But
3 I decided that, okay, we'll look at stomach cancer
4 and say, suppose that is really indicative that
5 there's more smoking at Lejeune than at Pendleton,
6 what would be the impact of that, and it really only
7 changed these risk estimates by about 13 percent.
8 So it would be a very minor change, and that's the
9 most it could be. But most likely it has no effect
10 whatsoever on these rates, okay.

11 So as I said, we did an additional analysis
12 here. We divided the Camp Lejeune people into two
13 groups. One group is very low cumulative exposure,
14 and that makes up about 40 percent of the cohort.
15 And then you have the rest of the 60 percent we
16 lumped into this group, low to high, just to give us
17 a sense. And then Camp Pendleton again is the
18 reference group here. And what we saw was that, for
19 the diseases of primary interest, the ones you see
20 there, cervical, Hodgkin's, kidney, leukemia and
21 multiple myeloma, the excess was primarily in the
22 higher cumulative exposure groups. So that's
23 good -- that's where we see some consistency here,
24 that the excesses could be related to these
25 exposures because we see it in the higher cumulative

1 exposure group. For liver cancer it was sort of
2 even. There was -- the excess was in both the very
3 low and the high group. And for lung cancer that
4 was the -- it was also primarily in the higher
5 exposure group. But some of the other excesses that
6 you saw on the previous pages, like pancreatic
7 cancer for example, it was mostly in the very low
8 group so that's not consistent, okay. So we sort of
9 emphasized these findings because they sort of --
10 not only does Lejeune have a higher mortality rate
11 for these than Pendleton, but also there's some
12 evidence that they're also among the more exposed,
13 okay?

14 Okay, then we did the internal, what we call an
15 internal analysis. We looked at the Camp Lejeune
16 cohort only, okay? So Camp Pendleton's out of the
17 picture now. And we're saying okay, we're going to
18 split Camp Lejeune into four categories. The very
19 low exposure group was the same as the previous
20 slide, and we looked at that, but they had very low
21 exposure. Then there's -- and that's about
22 40 percent of the cohort. So the rest of the
23 cohort, the rest of the 60 percent, we split into
24 three parts, about 20 percent each, low, medium and
25 high. And these are arbitrary cut points just to

1 get at cumulative exposure. We also looked at
2 cumulative exposure as a continuous variable, and
3 you know whatever your number was, we put that into
4 a regression analysis too, so we've looked at that
5 and those charts are in the paper and in the
6 appendices so I'm not going to go through that. But
7 I'll show you the categorical -- and then we did one
8 other thing. When you break down the categories
9 into these very low, low, medium, high, and these
10 are arbitrary. Someone else could make different
11 cut points, okay? So that's the problem with what
12 we call categorical analysis.

13 But a continuous variable, the problem there is
14 you are assuming a shape to the exposure response
15 curve. You're assuming it's sort of like this if
16 you're doing the linear regression or something like
17 this if you're doing a different kind of regression.
18 You're basically saying we're going to assume this
19 is going to be the shape. There's another approach
20 which says we're not going to make these
21 assumptions. We're not going to make the
22 assumptions here of making arbitrary cut points;
23 we're not going to say that the line's going to look
24 like -- we're going to let the data more define that
25 curve for us. So the curve can go like this, it can

1 go any which way given the dates. So there are some
2 assumptions in that, too. There's nothing you can
3 do without assumptions. But it has fewer
4 assumptions and gives you a better picture of that.
5 And I'll show you a few of those pictures later,
6 okay? And they're called splines. It's an exotic
7 term but don't let that snow you.

8 Okay, so we looked at all the diseases of
9 primary and secondary, and we didn't really see much
10 except for these that I'll show you. And kidney
11 cancer again showed some increase with increasing
12 exposure. So here we have -- there was elevated
13 when Lejeune was compared to the U.S. It was
14 elevated when Camp Lejeune was compared to Pendleton
15 and there was this what you might call an exposure
16 response. So kidney cancer, it's pretty consistent
17 throughout this study, and I think it's the
18 strongest finding on the study, in my opinion.
19 Hodgkin's lymphoma, similarly as kidney cancer, and
20 I'm not sure why this is the case 'cause there's not
21 a lot of literature on this, and it could be that
22 that there's issues with the death certificate and
23 how it's ascertained; I don't know. But we did see
24 pretty consistent for Hodgkin lymphoma throughout
25 this study, okay? For the leukemias, we didn't -- I

1 don't see an exposure response relationship here.
2 See look, if you look at the chart here, the low
3 exposure group has pretty high relative risk or risk
4 ratios. For example for TCE the low exposure is a
5 number 2, see? And but the medium exposure drops
6 down to 1.54 and the high exposure is 1.81. So
7 what's going on here? I don't know. But that -- it
8 could be partly due to the way that we did the cut
9 points, how we define low, medium, high. It could
10 be due to errors in how we assign the exposure. It
11 could be a number of things. We don't know. But we
12 did see, though, that it was in excess throughout,
13 that all the exposure groupings had a higher than 1
14 relative risk compared to the very low exposed
15 group. So there you go. It's hard to know how to
16 interpret it.

17 ALS was very interesting. Instead of showing
18 you that, let me show you the ALS curve. Here's the
19 ALS curve. This is what we call the spline, I was
20 telling you about, where you let the data pretty
21 much tell you what's going on. So it starts off at
22 the very lowest -- if I can get this thing to work.
23 All right, you see that dotted line, that means
24 there's no association. So actually at the
25 beginning of that curve, the rate of ALS is lower in

1 the low exposure group than the very low. But as
2 you get to the high exposure group, it all of a
3 sudden shoots up and gets up to as high as 3 to 3
4 and a half. So that's interesting. Again, I'm not
5 sure what to make of this other than it's a pretty
6 interesting relationship, okay? It is increasing
7 as -- but only in the high exposure group do we see
8 the sharp increase, okay?

9 But this, this is the Hodgkin's one. It goes
10 up and then reaches a peak, and then starts to tail
11 off. Again, that could be due to -- the tailing off
12 could be due to errors in the exposure assessment.
13 Also, you know, there are people who smoke a lot,
14 right, and never get lung cancer. So there are
15 people who are insensitive, let's say, to the
16 exposures; that could be driving the line down.
17 There can be all kinds of reasons; these are just
18 two possibilities.

19 The previous one, again, you're going to get a
20 funny shape but it's going up as you go from low to
21 medium exposure. And then to high exposure, then it
22 starts coming back down but it still stays above 1
23 throughout. This was with kidney cancer. So it's
24 not a clean curve that you'd like to see but it does
25 indicate that there's something going on.

1 Okay, I think I've touched on a lot of the
2 problems with the study already, and the key one is
3 errors in exposure assessment, okay? And that can
4 lead to, as Perri said in the previous study, to you
5 can underestimate the risk if you're just comparing
6 exposed versus unexposed or Camp Pendleton versus
7 Lejeune, or it would distort -- you have these funny
8 kind of looking curves when you're looking at more
9 than one exposure but we're looking at low, medium
10 and high, for example, okay?

11 The disease misclassifications, some of the
12 similar problems. I think it's less of a problem
13 than the exposure misclassification but it's not
14 trivial. The death certificates are problematic.
15 Not only -- they may have the wrong cancer on the
16 death certificate but as I said before, a lot of
17 people die of other things and they don't die of
18 that particular disease that you're interested in.
19 They die -- getting run over by a truck or
20 something. There was very little evidence that
21 smoking or any other risk factors were confounding
22 these findings so I'm not worried about that issue.
23 In the literature you don't see much confounding
24 anyway, and I didn't see much here.

25 What we do see, though, is that we see wide

1 confidence intervals, and again, that's caused by
2 the small numbers of deaths and the specific causes
3 and why is that? For a couple of reasons, one, I
4 already talked about the healthy, what's called the
5 healthy veteran effect. Veterans are just in better
6 shape and healthier than the general population.
7 And they don't die. Very few of them were dead in
8 this study. Less than 6 percent of the cohort,
9 5.8 percent to be exact, at Camp Lejeune. And most
10 of the people were younger than 55 at the end of the
11 study. So and then -- and so to summarize, these
12 are the cancers I thought were of interest and
13 seemed to be in some consistency in the findings,
14 liver cancer less so, but -- and ALS I have a
15 question mark because, as I said, Pendleton had a
16 higher rate, or at least slightly higher, but we saw
17 a dose response at the same time. So I don't know
18 what to make of ALS. That's something that we need
19 to follow up as we go along. There is some evidence
20 of solvent exposure in ALS, not strong at all, very
21 -- but there is some and it would be important to
22 follow that up.

23 The other thing is that these sort of studies
24 are hard to do. You have, as I said, exposure
25 errors and when you look at the worker studies, you

1 see some of the same sizes of risks that we're
2 seeing in this study. For kidney cancer, for
3 example, when we compare Lejeune to Pendleton, we
4 found the risk of 1.35. And when they did the meta-
5 analysis, looking at all these worker studies and
6 coming up with a composite relative risk over all
7 these studies, they're coming up with a relative
8 risk of actually a little less than that, about
9 1.27, 1.28 for kidney cancer. So the findings here
10 are in the ball park of what we're seeing in the
11 meta-analysis but that also means when you're
12 having -- when you're trying to look at risks this
13 low, I mean, they're not, they're not low in the
14 sense of they have impact but they're low in the
15 sense of when you have errors in the study you might
16 miss these things. They may get buried in the
17 noise, so to speak. That's why these studies are
18 difficult. We're looking now at risks that are more
19 difficult to pick up, especially in studies where
20 there are these kinds of issues of who's exposed and
21 how much, okay?

22 So in conclusion, well, we already know the
23 literature is limited; that's why we do these
24 studies in the first place. And we think it played
25 in the -- made an important contribution. But

1 **UPDATES ON HEALTH STUDIES**

2 **MR. SHANLEY:** My name is Eddie Shanley and I
3 work with Perri and Frank. I'm working on the male
4 breast cancer study. We are currently in the
5 process of doing the data entry for the study that
6 involves looking at all the military personnel
7 records, which we've obtained from the National
8 Personnel Record Center, which I'll refer to as NPRC
9 for this -- you here. Those records are going to
10 contain the information regarding when the person --
11 when that serviceman was stationed at Camp Lejeune,
12 their unit codes. We're also calling up information
13 on their occupational specialty, other information
14 involves their marital status and family status and
15 the residential location of those families.

16 So we're trying to go through each one of those
17 records, page by page, and extract all that
18 information and then entering that in the database.
19 We are hoping -- or we will have that completed here
20 in the next couple of weeks and begin the data
21 analysis process. So by the next CAP meeting we
22 will have a descriptive analysis of those -- of the
23 records.

24 Right now what I can tell you is that we have
25 435 study participants in the study, 71 of those are

1 individuals that have been diagnosed with male
2 breast cancer and -- leaving 364 controls, which
3 gives us enough in cases to controls to meet our
4 requirement of (unintelligible) study methodology of
5 one case to every four controls.

6 We are currently on track as far as the study
7 timeline is proceeding. And we plan on having the
8 study manuscript completed and in the internal
9 review process by the end of the calendar year.
10 That's all I have in my update. Questions?

11 **MR. PARTAIN:** Yeah, on the number of cases
12 identified, you said 71?

13 **MR. SHANLEY:** That is what -- originally there
14 were -- so from the cases being pulled, we pulled
15 from the VA's cancer registry, we pulled initially
16 78 male breast cancer cases from the registry. Of
17 those 78, seven of those records we -- were not able
18 to be located through the National Personnel Record
19 Center or Quantico, so we -- there's a process they
20 go -- the National Personnel Records Center is part
21 of the National Archives. There's a process that
22 they go through in order to try to obtain these
23 records. They don't just go up to the single file
24 and look and see if it's there or not and go into
25 that --

1 **MR. PARTAIN:** Well, I mean, they -- understand
2 that they can't find the records (unintelligible).
3 Does the VA have any other records or personal
4 information that y'all could get to, you know,
5 identify them for the purpose of the study?

6 **MR. SHANLEY:** Unfortunately the VA doesn't have
7 the residential locations that we would need for
8 them to basically identify if they were stationed at
9 Camp Lejeune and the dates they were there. So
10 unfortunately we don't have that.

11 **MR. PARTAIN:** What about like family, contact
12 somebody or? I mean, do they have any way of doing
13 that?

14 **MR. SHANLEY:** I think that's something that
15 could possibly be done. I think the fact that we
16 have enough in cases and controls to proceed with
17 that study methodology, we feel comfortable moving
18 forward.

19 **MS. RUCKART:** Well, Eddie, when you say that
20 would go against our protocol or methodology but
21 that is a data linkage and we treat everybody who's
22 in the study the same way. So I think at this point
23 we cannot really entertain something like that.

24 **MR. PARTAIN:** Well, how would it be treating
25 somebody differently? I mean, they're, they're

1 identified as part of the group. It's just a matter
2 of finding out who they were.

3 **MS. RUCKART:** Because we're relying on records
4 to identify the other people. That biases if you
5 get certain information on some people but not on
6 others.

7 **MR. STALLARD:** Okay, so let's briefly move into
8 Perri. You have just two quick items to update us
9 on?

10 **MS. RUCKART:** Yeah, three. So just to let
11 everybody know where we are with our other three
12 efforts: The adverse pregnancy outcome study
13 manuscript is undergoing agency clearance and
14 review, and we expect to submit the manuscript to a
15 journal this summer.

16 Similar situation with the civilian mortality
17 study. Frank was just presenting on the active duty
18 members. And the health surveys, we're currently
19 cleaning and updating the data that we have and we
20 plan to begin the analysis here very shortly, within
21 the next two weeks. We're going to be, you know,
22 working on the male breast cancer and the health
23 survey.

24 **MR. PARTAIN:** Follow up on two things. By the
25 way, (interference), and it's not getting enough

1 information.

2 I don't know who's talking there but --

3 (Interference)

4 **MR. PARTAIN:** Dick was mentioning earlier, when
5 the reporter was trying to get specific information
6 on the studies and everything, on the mortality
7 study --

8 (Interference)

9 **MR. PARTAIN:** Anyways, if I'm understanding you
10 correctly, both Perri and Frank, there's been some
11 significant findings, and my question is to the
12 leadership at the ATSDR, what is going to be done to
13 package that information for the VA so that they can
14 incorporate what you all found in what they're doing
15 in assessing these veterans' claims for benefits,
16 'cause it's critical. I mean, the way the signs are
17 showing that there's a correlation in the 2011 EPA
18 classifies that TCE is a carcinogen to its effects
19 on the human kidney cancer. We're hearing that in
20 the mortality study, kidney cancer is a significant
21 finding but yet we keep getting veterans emailing
22 -- well, Jerry and myself, putting a claim in for
23 kidney cancer, I was at Lejeune in the late 70s,
24 early 80s, and my claim was denied.

25 **DR. IKEDA:** I think that's an excellent point

1 and certainly this meeting is one venue to get that
2 information and share it with the VA but it probably
3 merits other, you know, separate meetings, focus
4 meetings where we can go through the details as well
5 as written materials and other avenues of
6 communication.

7 **MR. ENSMINGER:** What if somebody
8 (unintelligible) liver cancer?

9 **DR. IKEDA:** What's the question?

10 **MR. STALLARD:** Something about liver cancer?
11 Okay, well, we're moving on then.

12 **MR. PARTAIN:** One thing too, I mean, we cram
13 two, two settings --

14 **MS. BRIDGES:** I have a couple questions, Chris,
15 but I'm not -- my area is not -- doesn't coincide
16 with the voices that you have. Is now a proper time
17 to bring these questions up?

18 **MR. STALLARD:** Well, welcome -- first of all,
19 welcome, Sandy; we didn't know that you had joined
20 us. So what's your question?

21 **MS. BRIDGES:** Well, the questions that
22 people -- members, members that are interested have
23 that they wanted me to address to you all while
24 you're there. One --

25 (Interference)

1 **MS. BRIDGES:** Are we okay?

2 **MR. STALLARD:** Well, we're hearing a lot of
3 voices behind you. It's really hard to understand.

4 **MS. BRIDGES:** They want (unintelligible) a few
5 questions by good members and they want answers.
6 They want to know what can be done about studies,
7 more studies done on the children other than --
8 well, the ones that are -- the living, the children
9 that are living and the mental -- addressing the
10 mental conditions of those children, with ADS and
11 attention deficit disorder. It seems to run very
12 rampant. I mean, it's more rampant with the
13 children there than it is on the children on the
14 outside -- you know, the outside here. And they
15 want answers. They want to know why, why these kids
16 have so much -- these problems in school in
17 attention deficit disorder.

18 **MR. STALLARD:** Sandy, where are you? Sandy,
19 where are you right now?

20 **MS. BRIDGES:** What can we do about that as far
21 as doing a study on those children that made it
22 through Lejeune? Those children that were carried,
23 (unintelligible) and delivered there at Camp
24 Lejeune. They're the ones that are really, really
25 susceptible to everything that was around them.

1 **MR. ENSMINGER:** Sandy.

2 **MS. BRIDGES:** I mean --

3 **MR. ENSMINGER:** Sandy.

4 **MS. BRIDGES:** (unintelligible) water. Their
5 boxes -- bottles were mixed with half and half --

6 **MR. ENSMINGER:** Sandy.

7 **MS. BRIDGES:** -- half water and half Similac.
8 These kids grew up (unintelligible) but they grew up
9 at Camp Lejeune and they all have all these
10 problems.

11 **MR. STALLARD:** Okay.

12 **MS. BRIDGES:** How can we address that?

13 **MR. STALLARD:** Sandy, what I would invite you
14 to do --

15 **MS. BRIDGES:** And I've got another one from
16 (unintelligible) anything that we can do for these
17 children, where the genes were handed down to their
18 own children. Is there anything we can do, any
19 schooling we can do that the government can offer
20 those children that have been affected by the water?
21 If they were born -- conceived and born, you know
22 they were affected. So what can we do to help these
23 kids? And it goes down three generations.

24 **MR. STALLARD:** Okay, Sandy, can you hear us?

25 **MS. BRIDGES:** What can we do?

1 page, with the science that's out there so that they
2 can take care of the veterans and get them, you
3 know, the care they deserve.

4 **MS. FRESHWATER:** And I also just want to note
5 that I am still hearing from a lot, a lot of
6 veterans that they are showing up and the people
7 that they're meeting with don't know anything about
8 Camp Lejeune. And these are, these are people I
9 know. They're not, you know, it's not going on
10 anonymous internet comments or anything like that,
11 so just to note it for you guys.

12 **MR. STALLARD:** Okay. There was definitely
13 interference. We're going to have to try to sort
14 that out. This is a new -- first time we've been in
15 this facility, and so we've learned a few things.

16
17 **CAP UPDATES AND CONCERNS**

18 **MR. STALLARD:** We do have already the schedule
19 in advance for the next CAP meetings in -- we have a
20 time frame in June and a time frame in September.
21 So those are currently -- we're going to coordinate
22 when those -- the best times for those are.

23 **MR. ENSMINGER:** What -- I have one question
24 about CAP meetings. We're supposed to have a CAP
25 meeting every quarter. That's the way this was set

1 up. That means four CAP meetings a year. Three is
2 not enough, and, you know, we're into some critical
3 stuff here and, you know, we need to, we need to
4 be -- we need to be together more than we are apart
5 on this stuff. So.

6 **MR. PARTAIN:** And we were promised a CAP
7 meeting in January, and we didn't get to talk about
8 this, our CAP's concerns and what have you, as much
9 as we wanted to today, but we were promised a CAP
10 meeting in January. It did not happen, and we
11 couldn't get a straight answer from anybody here for
12 almost two and a half months. And it almost took
13 Jerry and I going to Congress to get something to
14 actually happen (unintelligible). Four CAP meetings
15 a year is the minimum. I mean, we mentioned earlier
16 about doing additional meetings for the public
17 health assessment. We're open to that. I take my
18 personal vacation time to come here from work. The
19 short time with my family but I think it's that
20 important that I'm willing to do that but we need to
21 have these meetings and not go through
22 (unintelligible) like we had to last year.

23 **DR. RAGIN-WILSON:** In January, as you know, we
24 had a leadership change, and a letter was sent out
25 to the CAP as to why we did not have the meeting in

1 January. We wanted to give proper time to get up to
2 speed on the Camp Lejeune issues. And I think an
3 email was sent out to the CAP explaining --

4 **MR. PARTAIN:** With all due respect, Angela,
5 that's just not -- that doesn't cut it. We've had
6 leadership changes before. We had an interim
7 director, I forget his name. We've had interim
8 directors before. We had Robin before. That was
9 not -- that was an excuse. That was not a reason.
10 And I mean, the meeting should have happened. And
11 you know like I said, as soon as we went to
12 Congress, the walls came down. Oh, we had meetings
13 scheduled and everything. So I hope that's not the
14 future and I'd like to, you know, encourage -- I'm
15 hearing we're talking about June and September
16 dates. I'd like to go ahead and get those dates
17 nailed down before we leave. Because at every CAP
18 meeting, we ask for this, and at the last CAP
19 meeting in September we had to pull teeth to get it
20 in January and then all of a sudden that changed.

21 **MR. ENSMINGER:** And the CAP meetings are for
22 the community, not for the leadership at ATSDR. I
23 mean, really, I mean... I mean, I want to work with
24 you. We all want to work with you. But we want you
25 to work back with us.

1 **DR. RAGIN-WILSON:** And we do want that too.
2 And we do have the next two meetings scheduled. If
3 you have your calendars out, we can decide on the
4 dates now. The dates that have been identified in
5 June: June 12th, June 19th or June 24th. And keep in
6 mind we're going to do the session the day before
7 with Dr. Forrester.

8 **MR. STALLARD:** Friday the 13th.

9 **MS. RUCKART:** The 12th is a Thursday. The 12th
10 is a Thursday, and so that could mean the 19th, I
11 guess, is a Thursday, and the 24th is a Tuesday.

12 **MR. PARTAIN:** I'm open with any of those dates.

13 **MR. STALLARD:** (Unintelligible) on Wednesday.

14 **MR. PARTAIN:** I prefer it earlier in the month
15 of June rather than later.

16 **MR. STALLARD:** Say that again, Mike?

17 **MR. PARTAIN:** I would prefer it earlier in the
18 month of June because I -- when I have my children
19 for the summer, after the 12th, so if we could do the
20 12th, that would be great. Nineteenth would be
21 better. Twenty-fourth would be the least desirable.

22 **MR. STALLARD:** Okay, so the 12th or the 19th.
23 Do we have any preferences either way from the...

24 **MR. ENSMINGER:** I can do it any time.

25 **MS. RUCKART:** I mean, you're asking people?

1 **MR. STALLARD:** Yeah, I am, for a conversation.
2 We're in a conversation now.

3 **DR. RAGIN-WILSON:** Anyone else have an
4 objection to June 19th?

5 **MS. FRESHWATER:** So that would be the day
6 before the 19th?

7 **DR. RAGIN-WILSON:** Yes, and Dr. Forrester's
8 session will be the night before.

9 **MR. STALLARD:** All right, so you're here during
10 those time frames.

11 **DR. FORRESTER:** I'll be here whenever you want
12 to come.

13 **MR. STALLARD:** Okay.

14 **DR. FORRESTER:** I think we can come in in the
15 morning and we can work all afternoon, if that makes
16 it more convenient --

17 **MR. PARTAIN:** Well, we're going to have to fly
18 in or drive over so like after lunch would be the
19 time.

20 **DR. FORRESTER:** Okay. And we can stay as late
21 as you want.

22 **MR. STALLARD:** So I'm hearing that we might be
23 swinging to the 12th.

24 **DR. RAGIN-WILSON:** Yes, June 12th. Any
25 objections?

1 **MR. ENSMINGER:** None.

2 **MR. STALLARD:** So coming in on the 11th.

3 **DR. RAGIN-WILSON:** Come on the --

4 **MR. STALLARD:** Everybody in favor, remain
5 seated.

6 **DR. FORRESTER:** Wait a minute. We thought you
7 just said June 19th.

8 **MR. STALLARD:** We did but we changed our minds.
9 We're demonstrating flexibility and
10 (unintelligible). So are we all in agreement, the
11 12th for the CAP meeting and the 11th for the pre-
12 meeting to talk in-depth working about the vapor
13 intrusion. All right. So September.

14 **DR. RAGIN-WILSON:** The dates in September:
15 September the 9th, September the 11th and
16 September 18th.

17 **MR. PARTAIN:** Tuesday, Thursday and a Thursday.

18 **DR. RAGIN-WILSON:** Correct.

19 **MR. FLOHR:** Eighteenth would be
20 (unintelligible).

21 **MR. ENSMINGER:** Why, you going to the beach?

22 **MR. FLOHR:** Going somewhere.

23 **MR. ENSMINGER:** Well, he -- when he plays golf
24 he's at the beach; he's in the traps.

25 **MR. STALLARD:** How do you know that?

1 **MR. FLOHR:** Yeah.

2 **MR. STALLARD:** Okay, so I heard the 18th. Any
3 objections to the 18th? I was amazed that Brad could
4 bring that up so quick, so he's got September
5 planned.

6 **MR. FLOHR:** No, my wife and I go on vacation
7 (unintelligible).

8 **DR. RAGIN-WILSON:** September 18th is the date.

9 **MR. STALLARD:** And for my part, I don't know if
10 I'm available or not but I feel that Matt is the --
11 who was introduced this morning, is fully capable
12 and able to work as easily with you as I do, but I
13 certainly plan to be here if I can.

14 **DR. RAGIN-WILSON:** So we are still doing a pre-
15 meeting September 17th?

16 **MR. STALLARD:** Okay. So next, we took care of
17 the calendar. Yes, sir?

18 **DR. CANTOR:** I have an issue I'd like to bring
19 up that has not been discussed today.

20 **MR. STALLARD:** Please do.

21 **DR. CANTOR:** It's related to the scientific
22 papers that are either in the works or have been
23 published. My understanding is that clearance is
24 not a rapid process, that clearance can take many,
25 many, many months to get through, and I don't quite

1 understand this. At least in -- I mentioned earlier
2 on that I'm working part-time at NCI. One of my
3 responsibilities on a very base level to serve as a
4 clearance person for -- and to work with the actual
5 writers of these papers to for minor changes,
6 sometimes for major changes, and I try to get things
7 off my desk in two or three days. And my
8 understanding is that, and my concern, is that it's
9 just taking months and months and months to get
10 papers through. What can be done to hasten this
11 process?

12 **DR. IKEDA:** Okay, so we were talking during one
13 of the breaks. There's a lot of government
14 processes that are probably very unclear to folks
15 around the table. So one thing that we could do is
16 certainly share with you how the different processes
17 work and what are the steps and what is involved.
18 And then our ideas about ways that they can be
19 improving.

20 **DR. STEPHENS:** Yeah, this is something we've
21 had a number of discussions on, and I think we -- I
22 think we have some ideas and ways we can speed it
23 up. The problem is that, because it's a linear
24 process, a serial process, and probably the best way
25 to speed that up is to take a number of the steps

1 and collapse them so that you, you know -- one
2 process that we found that works really well is kind
3 of thing is just to get everybody together and -- so
4 you can have discussions so you don't have multiple
5 layers asking the same question over and over again
6 that people have to answer, so I think there are
7 some ways we can improve it. You're right. It
8 shouldn't take that long.

9 **DR. CANTOR:** Do you have a central tracking
10 system for knowing where any --

11 **DR. STEPHENS:** Yes, we do, yes.

12 **DR. CANTOR:** And I think that this is probably
13 a protocol as well. I assume that these have also
14 to go through some clearance but maybe not rigorous
15 or complicated.

16 **DR. IKEDA:** Right. And the other thing with
17 the scientific papers is not only to go through
18 internal clearance here at the agency, and that's
19 what Jimmy was talking about, the sequential process
20 that sometimes takes more time than it really
21 should. But then we also send the papers out for
22 external peer review because we've been criticized
23 in the past for not doing that. So even before it
24 goes to the journal, sending it out to individual
25 peer reviewers for their comments as well.

1 **DR. STEPHENS:** But I'm confident we can do it
2 faster.

3 **MR. ENSMINGER:** We'll be watching. Well, I
4 mean, you got to give people a deadline. I mean,
5 you give something to somebody and it lays on their
6 desk for a month or they went on vacation for two
7 weeks, you know, when I got provided an after action
8 report, after an exercise when I was in the
9 military, the routing sheet had when I had to have
10 that done, for my input, and it had to be passed to
11 the next person on the routing sheet. And if I was
12 the one holding it up, guess what?

13 **DR. IKEDA:** So, no, you're right. And there
14 are deadlines. One of the things that has happened
15 with some of the scientific papers is that somebody
16 in the clearance review process has had fairly
17 significant comments, and so it's gone back to the
18 authors, you know, for significant revision, and
19 then that -- it just takes time. But I'm not making
20 excuses for the process. I do think that there are
21 ways it can be improved.

22 **MR. STALLARD:** A high level of confidence.

23 **MR. FLOHR:** Steve and I and Mike have to leave
24 to get to the airport. I think we've had a really
25 good meeting today, and I hope that we all can move

1 forward as one group, working for one group of
2 individuals from this point forward.

3 **MR. ENSMINGER:** Well --

4 **MR. FLOHR:** We can do that, right, Jerry?

5 **MR. ENSMINGER:** Well, I would just like to know
6 when the dependents are going to start getting their
7 healthcare through the VA.

8 **MR. FLOHR:** VHA has done the best they can to
9 get an interim file, which does not have to go
10 through nurse and comment rule making, which would
11 take another year or so. That's at OMB right now.
12 As soon as OMB signs off on it, it will be published
13 and they will be ready to start making payments to
14 those dependents.

15 **MR. ENSMINGER:** All right.

16 **MS. FRESHWATER:** Can I get a contact name and
17 an email from someone? Because we're going to be --
18 Jerry asked us to kind of start leading in the
19 veterans for the VA with the CAP people or the
20 community, kind of be a liaison. So that would be
21 great, thank you.

22 **MR. FLOHR:** I'll give you my card.

23 **MR. STEVE WILKINS:** And I'll give you mine as
24 well.

25 **MS. FRESHWATER:** Thank you.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

WRAP UP/ADJOURN

MR. STALLARD: So we have a few action items that came out --

MR. FLOHR: Well, by the way, if there's an item that comes up for Steve Rogers, just email me and I'll give you a response.

MR. STALLARD: Well, we'll coordinate with you for the next meeting on the agenda to clarify the questions raised relative to the training slides. That was one ask that's out there. I echo Brad's sentiments, thank you for your time, everyone, today. This was a very different reset and beginning in our engagement and our relationship moving forward. And Robin, thank you for starting us off this morning with that tone and that level of commitment. Are there any other administrative things I'm supposed to say, Perri, like submit your vouchers on time? I guess aside from that, drive safely and we look forward to seeing -- welcome to the new members. We're delighted to have you as part of our efforts here.

MR. ENSMINGER: Your vouchers were in that envelope.

MS. FRESHWATER: That was my next question was,

1 what is a voucher?

2 **MR. STALLARD:** Yeah, what's a voucher, right?

3 And for those on the phone and out there in the

4 universe, thank you for watching. Bye-bye.

5

6 (Whereupon, the meeting was adjourned, 2:48 p.m.)

7

1

CERTIFICATE OF COURT REPORTER**STATE OF GEORGIA****COUNTY OF FULTON**

I, Steven Ray Green, Certified Merit Court Reporter, do hereby certify that I reported the above and foregoing on the day of April 4, 2014; and it is a true and accurate transcript of the proceedings captioned herein.

I further certify that I am neither relation nor counsel to any of the parties herein, nor have any interest in the cause named herein.

WITNESS my hand and official seal this the 28th day of April, 2014.

STEVEN RAY GREEN, CCR, CVR-CM, PNSC**CERTIFIED MERIT COURT REPORTER****CERTIFICATE NUMBER: A-2102**